

## MEDICARE PAYMENT ADVISORY COMMISSION

## PUBLIC MEETING

The Horizon Ballroom  
Ronald Reagan Building  
International Trade Center  
1300 Pennsylvania Avenue, N.W.  
Washington, D.C.

Thursday, March 6, 2014  
10:10 a.m.

COMMISSIONERS PRESENT:  
GLENN M. HACKBARTH, JD, Chair  
MICHAEL CHERNEW, PhD, Vice Chair  
SCOTT ARMSTRONG, MBA, FACHE  
KATHERINE BAICKER, PhD  
PETER W. BUTLER, MHSA  
John B. CHRISTIANSON, PhD  
ALICE COOMBS, MD  
WILLIS D. GRADISON, MBA  
WILLIAM J. HALL, MD  
JACK HOADLEY, PhD  
HERB B. KUHN  
GEORGE N. MILLER, JR., MHSA  
MARY NAYLOR, PhD, RN, FAAN  
DAVID NERENZ, PhD  
RITA REDBERG, MD, MSc, FACC  
CRAIG SAMITT, MD, MBA  
CORI UCCELLO, FSA, MAAA, MPP

AGENDA	PAGE
Site-neutral payments for select conditions treated in inpatient rehabilitation facilities and skilled nursing facilities - Carol Carter, Sara Sadownik	3
Next steps in measuring quality across Medicare's delivery systems - John Richardson, Ariel Winter, Kevin Hayes	76
Public Comment	156
Developing payment policy to promote use of services based on clinical evidence - Nancy Ray, Lauren Metayer, John Richardson	157
Per-beneficiary per-month payment for primary care - Kevin Hayes, Julie Somers, Katelyn Smalley	238
Public comment	308

1 P R O C E E D I N G S [10:10 a.m.]

2 MR. HACKBARTH: Okay. Welcome to our guests and  
3 the audience.

4 We have two sessions this morning -- the first,  
5 site-neutral payments for select conditions treated in  
6 inpatient rehab facilities and skilled nursing facilities.  
7 For those of you who follow our work, you will recognize  
8 this as a recent theme of MedPAC's work, moving towards more  
9 site-neutral payments.

10 And then, before lunch, we turn to measuring  
11 quality across delivery systems.

12 So let's begin with site-neutral payments.

13 Carol?

14 DR. CARTER: Good morning. As Glenn just  
15 mentioned, this is a presentation that continues the  
16 Commission's conversation about site-neutral payments.

17 Past work, as you know, has focused on inpatient  
18 and outpatient services with the objective of eliminating  
19 price differences based simply on the setting.

20 Today, we are shifting our focus onto post-acute  
21 care. For some conditions requiring rehabilitation after a  
22 hospital stay, there is overlap in the beneficiaries for

1 some treated in IRFs and SNFs. We are exploring a policy  
2 that would base payments to IRFs on the payments made to  
3 SNFs for select conditions.

4 The Commission is not alone in its interest in  
5 this topic. Many of the past president's budgets, including  
6 the one released this week, have included proposals to  
7 narrow the price differences between the two settings for  
8 select conditions.

9 Today, we will begin with some background on how  
10 those two settings differ, and then we are going to review  
11 some criteria we use to select the conditions that we are  
12 exploring. Then we compare beneficiary characteristics and  
13 their outcomes, and we have estimated the impacts of paying  
14 SNF rates to IRFs. And, finally, we will end with a short  
15 discussion -- and there is more in the paper -- about  
16 waiving certain IRF program requirements.

17 The services typically offers in IRFs and SNFs  
18 differ in important ways.

19 First, IRFs are licensed as hospitals. They have  
20 greater physician oversight and are required to have more  
21 nursing resources available compared to SNFs.

22 IRFs are required to use multidisciplinary teams

1 led by physicians, and SNFs are required to do that.

2 IRF patients must require three hours of therapy a  
3 day. In SNFs, patients assigned to the highest case-mix  
4 group receive less -- about 2.4 hours a day.

5 Compared to SNFs, IRF stays are shorter on  
6 average, and the patients receive more intensive services.

7 We acknowledge that the services in these two  
8 sites are different. The question is whether the program  
9 should pay for these differences when the patients admitted  
10 and the outcomes that they achieve look similar.

11 Aside from program requirement, each setting has  
12 its own prospective payment system. SNFs are paid on a day  
13 basis, and IRFs are paid on a discharge. IRFs also receive  
14 add-on payments for teaching, high shares of low-income  
15 patients and outliers.

16 In the fall, we discussed criteria for selecting  
17 conditions, and we settled on three. The conditions make up  
18 a sizable share of IRF volume and spending. The conditions  
19 are frequently treated in SNFs. And we looked at conditions  
20 that had been included in studies comparing IRF and SNF  
21 costs and outcomes. Based on these considerations, we  
22 selected the three conditions on the right -- stroke, major

1 joint replacement, and hip and femur procedures, which  
2 includes hip fracture.

3 For the analysis in the paper, we have used DRGs  
4 as a convenient way to identify patients treated for the  
5 same condition. We show three conditions as illustrations  
6 but used a broader set of eight DRGs throughout the  
7 analysis.

8 In terms of payments, we summed the SNF daily  
9 payments to a discharge basis to make this comparison.

10 And the payments on this slide for IRFs include  
11 the add-on payments.

12 You can see here that IRF payments range from 40  
13 percent higher for major joint replacement, without major  
14 complications or comorbidities, to about the same made to  
15 SNFs for hip fracture patients.

16 We examined many different characteristics of  
17 beneficiaries going to IRFs and SNFs, and you see that in  
18 the paper.

19 When we were comparing patients, we looked at  
20 patients that were admitted to facilities in markets with  
21 both types of facilities. We thought if there was some  
22 sorting going on between IRFs and SNFs, we would be more

1 likely to see it in those markets where patients had the  
2 option of going to one setting or another. The paper notes  
3 where our findings differed for all markets because we also  
4 looked at that.

5 Here, we show the mean risk scores measure using  
6 their HCC scores, ages, shares of duals, minority and female  
7 beneficiaries.

8 Especially for the orthopedic conditions, the  
9 second and third on the slide, the differences are pretty  
10 small.

11 There are larger differences with the stroke  
12 patients. For example, look at the risk score. You can see  
13 that the mean risk score of patients going to SNFs was 1.8  
14 versus 1.5 for IRFs.

15 Across all the conditions, the shares of female  
16 beneficiaries were higher in SNFs.

17 We wanted to look behind the averages, so we  
18 compared the distributions of some of the characteristics.  
19 One measure of the overlap is to calculate the share of IRF  
20 values that fall within the 10th and 90th percentiles of the  
21 SNF distribution for that same parameter, say, the risk  
22 scores.

1           By definition, 80 percent of SNF patients fall  
2 within the 10th and the 90th percentiles. So, if there was  
3 a lot of overlap in these distributions, we might expect to  
4 see 80 percent of IRF patient values also falling within  
5 those 10th and 90th percentiles.

6           Here, we show the results of looking at risk  
7 scores and ages, and you can see that the overlap is  
8 considerable. For risk scores, it was between 72 and 82  
9 percent, depending on the condition, and of the age  
10 beneficiaries there was slightly more overlap.

11           Another way we looked at whether the patients were  
12 similar was to look at indicators of patients' care needs,  
13 and we compared their predicted nontherapy ancillary and  
14 therapy costs that we use in the alternative SNF payment  
15 design, and that alternative design is described in the  
16 paper.

17           IRF stays had higher predicted mean costs per day  
18 compared to SNF patients, reflecting their higher intensity  
19 of services furnished to those patients given their shorter  
20 stays. Despite this, the overlap in the distributions was  
21 still considerable for NTA and therapy costs.

22           The relatively low overlap for therapy costs for

1 hip and femur procedures reflects the larger differences in  
2 the SNF and IRF lengths of stay that translate into higher  
3 IRF costs per day and, therefore, less overlap in the  
4 distributions.

5 Here, we compared the prevalence of comorbidities  
6 using HCCs. We are showing here the most frequent ones, and  
7 the columns show the three conditions, and each row is a  
8 comorbidity.

9 In general, the shares of patients with  
10 comorbidities were fairly similar, especially for patients  
11 recovering from orthopedic conditions.

12 Let's focus on the middle column for a minute.  
13 These are for major joint replacement patients, and you can  
14 see that across the board the shares of patients with these  
15 comorbidities are very similar. There were larger  
16 differences for the stroke patients. That is the first  
17 pair.

18 Patients treated in SNFs were more likely to have  
19 several of the comorbidities. The higher prevalence of them  
20 in SNFs may reflect that patients couldn't tolerate three  
21 hours of therapy in an IRF, and so they went to a SNF.

22 Here, we turn to some results from CMS's post-

1 acute demonstration because they looked at functional status  
2 at admission. You will remember that demonstration  
3 collected uniform patient assessment information using the  
4 CARE tool, and so the data are comparable.

5           Shown are the mobility and self-care abilities for  
6 admission to patients going to SNFs and IRFs, and these are  
7 data for all conditions, not our three select ones.

8           Going up the side is functional ability, and then  
9 you can see pairs for comparing the SNF and the IRF for both  
10 mobility and self-care.

11           This chart indicates that patients who went to  
12 IRFs and SNFs were very similar in terms of their incoming  
13 functional status, at least measured by mobility and self-  
14 care.

15           Now we're going to turn to outcomes.

16           Risk-adjusted readmission rates were not possible  
17 for us to do because of the lack of common patient  
18 assessment information. We compared the observed  
19 readmission rates during the IRF stay and the SNF stay and  
20 then 30 days after discharge. For these conditions, the  
21 unadjusted risk SNF readmission rates were higher than the  
22 readmission rates for IRFs.

1           However, when we look at the readmission rates  
2 from the PAC demonstration, which allowed for risk  
3 adjustment, we see that the differences were not  
4 statistically significant between these two settings. And  
5 that study looked specifically at a group of patients with  
6 musculoskeletal conditions, and nervous system, and that  
7 includes -- about half of those patients are stroke  
8 patients, and again, the readmission rates were not  
9 statistically different.

10           The PAC demonstration also looked at risk-adjusted  
11 measures of functional change. And for the two patient  
12 groups that we're focused on, what we see is that there were  
13 no statistically significant differences in change in  
14 mobility for either of the patient groups. For self-care,  
15 what the study found was that there were no significant  
16 differences for the musculoskeletal patients, but it did  
17 find higher rates of improvement for the stroke patients,  
18 just for the self-care.

19           The project did not establish thresholds for  
20 understanding whether the larger differences were sort of  
21 clinically meaningful.

22           Finally, we wanted to look at the 30-day spending

1 after the SNF or the IRF stay. While program spending on  
2 IRF stays is often higher than SNFs, what we wondered was  
3 whether spending after the stay was less or how it compared.  
4 We wondered whether their trajectories, if you will, for SNF  
5 patients and IRF patients were similar.

6 This shows the 30-day spending on all Medicare  
7 services. Spending on the second PAC site use, such as home  
8 health care or for IRF patients -- maybe they're discharged  
9 to a SNF -- is in yellow. The readmissions are in green.  
10 And the spending for Part B services is in gray.

11 We found that IRF stays continued to have higher  
12 spending in the 30 days after discharge compared to SNF  
13 stays. Additional PAC spending for IRFs was almost 50  
14 percent higher compared to SNF stays. However, IRF stays  
15 had lower readmission spending.

16 When we combined the spending for PAC stays and  
17 the 30-day spending, IRF spending was 9 to 38 percent higher  
18 than SNF spending.

19 Okay, now Sara is going to go over our findings  
20 about the impacts of paying IRFs, SNF rates.

21 MS. SADOWNIK: To assess the financial impact of  
22 paying IRFs the same rate that SNFs would be paid, we

1 compared base payments to IRFs under current IRF policy for  
2 2014 with 2 SNF scenarios -- payments using the current SNF  
3 PPS and payments under a MedPAC-recommended alternative SNF  
4 PPS design, as Carol mentioned. We used this alternative  
5 design because the Commission has long criticized the  
6 shortcomings of the current SNF PPS.

7           The alternative design bases payments on patient  
8 and stay characteristics rather than the amount of therapy  
9 furnished and better targets payments for patients with high  
10 care needs for nontherapy ancillary services such as drugs.

11           Under each SNF scenario, we modeled the payment  
12 that the case would have received based on the  
13 characteristics of each individual case. To do so, we had  
14 to address a few differences in the IRF and SNF payment  
15 systems.

16           First, we converted the SNF day-based payment to  
17 an IRF discharge-based payment, as described earlier.

18           Also, the IRF PPS includes add-on payments per  
19 case, which the SNF PPS does not, for indirect medical  
20 education, share of low-income patients and high-cost  
21 outliers. We assumed that IRFs would continue to receive  
22 full add-on payments for the cases paid under a site-neutral

1 policy. The site-neutral policy would only affect the base  
2 payment.

3 Our estimates do not factor any changes to IRF's  
4 patient admission practices or changes in spending in the 30  
5 days after discharge in response to the policy.

6 We modeled impacts at the individual DRG level and  
7 impacts to total payments at the facility level.

8 For the DRGs we examined, both SNF payment  
9 scenarios resulted in a substantial decrease in payment for  
10 stroke, and hip and knee replacement, and then increase in  
11 payment for hip fracture. The table here shows impacts on  
12 the base rate for three DRGs and reflects some corrections  
13 from your mailing materials.

14 Under SNF current policy for 2014, payments for  
15 IRF discharges would decrease by about 22 percent for stroke  
16 DRG 65 and 23 percent for hip and knee replacement DRG 470  
17 while payments would increase by about 5 percent for hip  
18 fracture DRG 481. The impacts under the SNF alternative  
19 design were similar to those for current SNF policy.

20 Impacts on IRF payment rates were fairly  
21 consistent across the broader definitions of the conditions  
22 -- the larger set of eight DRGs we examined, not shown here.

1           Based on the per-discharge payment differences, we  
2           estimated the total financial impact on IRFs of site-neutral  
3           payments for our select conditions, with both the more  
4           narrow set of three illustrative DRGs and the broader set of  
5           eight DRGs we examined for these conditions.

6           For the three DRGs, we found that paying SNF rates  
7           under current SNF PPS policy in 2014 would save a net of  
8           about \$300 million, which would represent about 4 percent  
9           lower total IRF payments, including add-on payments.

10           For the broader set of eight DRGs, the payment  
11           impact was larger because more cases are included. In this  
12           case, Medicare savings would be about \$415 million, or a 5  
13           percent decrease in total IRF payments, including add-on  
14           payments.

15           We found that the total payment impacts were  
16           smaller with the SNF alternative model.

17           Overall, the impact of site-neutral payments on  
18           total IRF revenue was similar between provider types. Non-  
19           profit, for-profit, hospital-based and freestanding IRFs all  
20           had Medicare payments decrease by around 4 percent under  
21           site-neutral payments for the 3 DRGs. Payments for rural  
22           facilities decreased slightly more, by around 5 percent.

1           Site-neutral payments would decrease the total  
2 base payments slightly more for non-profit and hospital-  
3 based facilities compared with for-profit and freestanding  
4 facilities as non-profits and hospital-based IRFs have  
5 higher shares of patients with the three conditions.  
6 However, site-neutral payments in our model did not change  
7 add-on payments, which typically add about 9 percent to all  
8 IRF-based payments on average.

9           Non-profit and hospital-based facilities receive  
10 more of these payments than for-profit and freestanding  
11 facilities, and receiving these add-on payments lessens the  
12 total financial impact of site-neutral payment policy for  
13 these providers. In essence, while these providers have  
14 larger shares of patients with our select conditions, add-on  
15 payments make up a larger share of total revenue for these  
16 providers, and this revenue source is not impacted.  
17 Therefore, overall, the financial impacts of site-neutral  
18 payments on total revenue are similar between these provider  
19 types.

20           In establishing narrower prices between IRFs and  
21 SNFs, we need to consider whether IRFs should continue to be  
22 required to meet IRF regulations for the selected case

1 types, such as the provision of 3 hours of therapy a day,  
2 the frequency of physician supervision and the 60 percent  
3 compliance threshold. Medicare could waive some of these  
4 requirements for IRFs when they treat beneficiaries who  
5 could be appropriately treated with less intensive care,  
6 which would allow IRFs the option of functioning more like  
7 SNFs in treating those conditions and, thus, leveling the  
8 playing field with respect to regulatory requirements.

9           While we recognize that IRFs face some fixed costs  
10 in their requirements, such as having a medical director of  
11 rehabilitation, IRFs could choose to provide less intensive  
12 therapy or medical care for individualized patients based on  
13 the patients' particular needs. For example, IRFs could  
14 have the flexibility to not provide three hours of therapy  
15 each day or to vary the number of physician face-to-face  
16 visits each week as IRF clinicians deem necessary.

17           If these requirements were relaxed, Medicare would  
18 need to carefully monitor outcomes, such as readmissions and  
19 improvement in functional status, to ensure the quality of  
20 care is not eroded.

21           Options regarding how to factor site-neutral  
22 payment cases in the 60 percent compliance threshold are

1 described in the mailing materials, and we are happy to  
2 discuss this on questions.

3           We have outlined options for Medicare paying  
4 similar rates when IRFs and SNFs treat similar patients and  
5 have similar outcomes.

6           Next steps include refining which conditions  
7 should qualify for site-neutral payments, including  
8 potentially exempting specific case types. For example,  
9 patients who require IRF-level medical or rehabilitation  
10 care, such as patients with particular comorbidities that  
11 require 24-hour nursing or frequent physician oversight, may  
12 not be appropriate candidates for site-neutral payments and  
13 perhaps should be exempted.

14           We also plan to identify the key factors that  
15 predict where patients are discharged to further assist the  
16 overlap in patients.

17           While a few IRF conditions, such as burns, spinal  
18 cord injury or traumatic brain injury, may always typically  
19 require hospital-level care, many other conditions could  
20 likely be found to be appropriate for care in a SNF and,  
21 ultimately, be applicable for site-neutral payments.

22           This analysis also reinforces the PAC-PRD

1 conclusion that a common payment system may be possible for  
2 patients who could appropriately be treated in different  
3 settings. Even if estimated savings are modest, the  
4 approach begins the process of considering a common payment  
5 system across PAC settings.

6 We look forward to the Commission's input on site-  
7 neutral payments in IRFs and SNFs, in particular, which  
8 cases and conditions to focus on, whether there should be  
9 exemptions and which, and whether some IRF requirements  
10 should be waived to create a more level playing field  
11 between IRFs and SNFs for these cases.

12 This concludes the presentation, and we will take  
13 your questions.

14 MR. HACKBARTH: Okay. Thank you.

15 Clarifying questions? I have Peter. We'll go  
16 down the row here. Inquiry? We'll get Peter.

17 MR. BUTLER: Slide 11. Just to make sure I  
18 understand the -- the first one, I understand, and you have  
19 specific -- in the chapter, the differences in the rates.  
20 And then the second said, which are unadjusted -- am I  
21 drawing the right conclusion to say once you adjust for  
22 risk, there is no difference, at least for those

1 musculoskeletal and nervous system? So the first point  
2 really is not something we should worry about, or should we  
3 worry about the differences in readmission rates?

4 DR. CARTER: I guess personally, I would put more  
5 emphasis on a risk-adjusted rate, but because they were  
6 broader groups of patients, they're not exactly comparable,  
7 because the musculoskeletal includes a broader mix of  
8 patients, as does the nervous system conditions. So we  
9 don't have the data on the three conditions narrowly that  
10 were -- the rest of the paper is focusing on, but I would  
11 place more weight on a risk-adjusted measure.

12 MR. BUTLER: Okay. On Slide 13, it's somewhat  
13 related, but make sure I understand what you're trying to  
14 convey here. Let's look at stroke. So that's one where in  
15 the chapter, there's a 15 percent readmission rate in SNF  
16 versus 11 percent in IRF, which I think accounts for the  
17 difference in the size of the green bar, right? And the  
18 yellow is any post-acute care spending. It could be home  
19 care. It could be in fact ISNF, or it could be anything  
20 that we consider in the post-acute care bundle, and the gray  
21 is part B, right?

22 DR. CARTER: Right.

1           And so, for example, if somebody was readmitted,  
2   it would include the readmission also to the SNF, for  
3   example, because it's looking at post-acute care spending  
4   after the discharge from the SNF of the IRF. So if somebody  
5   was readmitted to a hospital and then went back to a post-  
6   acute care setting, it's picking that up. It's also picking  
7   up any home health care spending or -- I mean, there's very  
8   little LTCH referral, but there could be an occasional one  
9   of those as well.

10           MR. BUTLER: Yeah. I'm trying to -- I understand  
11   kind of the blame, if you will, related to the green bar and  
12   the readmission rate. I'm less clear in my mind to what  
13   extent the setting and how it's managed affects the yellow  
14   bar, you know, and that's just a little -- a little less  
15   clear to me how we should look at that.

16           DR. CARTER: Well, one thing that I think about is  
17   because the IRF's days are so much shorter, they're about  
18   half, you know, ball park. So you might think that they  
19   have another PAC use after that, and it turns out a lot of  
20   them do. And so even -- so that was one of the things I was  
21   interested in was how, given that the lengths of stay are so  
22   different for these two settings, how does the 30-day

1 spending after that compare.

2 DR. BAICKER: And does the yellow bar then include  
3 this PAC use subsequent to a second readmission -- I mean to  
4 readmission. So some of that kind of goes with the green  
5 bar, and some of it might be straight from one PAC to  
6 another PAC.

7 DR. CARTER: That's right.

8 MR. BUTLER: Not to divert us too much, but this  
9 is an important slide.

10 So my simple mind says what's the tradeoff between  
11 the site-neutral and the readmission part. I can make that  
12 calculation pretty well. I get less clear about how to make  
13 the calculation when you include the total bar difference.

14 MR. HACKBARTH: So on this same slide, as I  
15 understood what you said in response to Peter's first  
16 question about Slide 11, the risk adjustment here is this  
17 information is all drawn from the PAC demonstration. None  
18 of our analysis is risk-adjusted, so --

19 DR. CARTER: It's only risk-adjusted to the extent  
20 that like this stroke is a specific DRG. So it's not the  
21 strokes with the major complications and comorbidities. So  
22 to the extent that you think that the DRGs are somewhat

1 sorting patients by their complexity of their comorbidities  
2 and complications at least while they are in the hospital,  
3 that is controlled for.

4 MR. HACKBARTH: Yeah. But as risk adjustment, the  
5 term is used on page 11. "This graph" --

6 DR. CARTER: This is not. Right.

7 MR. HACKBARTH: -- "is not risk-adjusted."

8 DR. CARTER: That's right.

9 MR. HACKBARTH: Okay. Cori.

10 MS. UCCELLO: So I can't remember if this was  
11 discussed in the chapter, but can you talk about why the  
12 savings would be smaller under the alternative SNF payment?

13 DR. CARTER: We haven't analyzed that completely.  
14 I mean, one reason is because the alternative design is not  
15 basing payments on therapy but on their comorbidities, to  
16 the extent that IRF patients have more of those things  
17 coded, they will pick that up.

18 The other thing is the way that we -- and probably  
19 -- and more importantly, the way that we estimated the  
20 spending for IRF cases was we calculated a cost per day, and  
21 because IRF services are more intensive, they end up with a  
22 higher cost per day, and then we multiplied it by the SNF

1 length of stay, and so they sort of have a higher cost per  
2 day that then gets multiplied out through the SNF length of  
3 stay, which we assume for the IRF patient.

4           One of the things we had to think about was, well,  
5 IRF stays are much shorter than SNFs, would they continue to  
6 be under this policy. So at least for this go-around, we  
7 assumed that the length of stay would mirror more like the  
8 SNF length of stay, but that's why you see the differences.  
9 It has probably more to do with length of stay differences  
10 and how the costs that are higher in IRFs on a daily basis  
11 get multiplied through.

12           DR. COOMBS: I had a question on 11 as well, but  
13 now I understand, Carol. Thank you so much. You're  
14 basically saying that's a whole bunch of little DRGs dumped  
15 into the second risk-adjusted one, is that correct, on Slide  
16 11?

17           DR. CARTER: Right. Well, to the extent that the  
18 musculoskeletal includes a range of patients, yes.

19           DR. COOMBS: Right, right. So the variability of  
20 those DRGs in terms of prognosis and how they fare is going  
21 to be very different from the three, very three specific  
22 DRGs and the first unadjusted bullet, right?

1 DR. CARTER: Before I agreed with that, I would  
2 need to look at the mix of cases in that broader condition  
3 definition and see.

4 Like the nervous system, I think 47 percent of  
5 those cases are stroke patients, and so it's true -- 53  
6 percent aren't -- but stroke is going to be a major factor  
7 in that readmission rate.

8 I haven't looked at the musculoskeletal bucket, if  
9 you will, to see sort of the mix of things that's in there,  
10 and to the extent our -- the conditions we focused on, over  
11 how much overlap there is in there.

12 DR. COOMBS: And then the second question is, What  
13 is the value of the balance that we see here between the  
14 readmission rates and the cost of the secondary PAC stay?  
15 What value -- I know that you said it was a 4 percent  
16 savings, 5 percent savings later on in paper. What is the  
17 value of that? What are we giving up? What is our  
18 opportunity cost here if we switch, take away the 3 hours of  
19 intensive therapy in the IRF? Is that in and of itself  
20 something that's going to reduce cost by itself in terms of  
21 being more selected? Is there a -- is there a conversion  
22 factor for what we're going to see combining the readmission

1 and looking at the savings that are accrued because of the  
2 different -- changing the protocol or regulation?

3 MS. SADOWNIK: We didn't look at any changes in  
4 spending after, in the 30 days after discharge from -- from  
5 the IRF or from the SNF when we looked at the payment  
6 impact. So that 4 percent is just for payments for that  
7 stay. We did not assume any changes in the days after  
8 discharge.

9 And actually, to your earlier question, it's worth  
10 noting that in the PRD, for example, when they talk about  
11 stroke or musculoskeletal conditions, et cetera, the DRGs  
12 that we looked at do not -- so let's say the three DRGs for  
13 stroke that we looked at in the broader set do not  
14 constitute necessarily all of the stroke cases in an IRF,  
15 there could be some subset of other smaller DRGs that we  
16 didn't look at. There could be they didn't have -- they had  
17 a different DRG in the hospital but stroke was the most  
18 important impairment when they got to the IRF, et cetera, so  
19 that's an important distinction.

20 DR. COOMBS: So thank you very much. That's very  
21 helpful.

22 I can go to the second round. Thanks.

1           MR. KUHN: On Slide 4, you list the three  
2 conditions there: stroke, major joint replacement, and hip  
3 fracture. Carol, also in your opening comments, you  
4 mentioned that the administration, their budget that they  
5 released this week, also has a proposal out, and they've  
6 kind of talked about this before. Did they define specific  
7 procedures in their budget proposal, or did they just say  
8 let's try to align as many of these as we can?

9           DR. CARTER: They specifically mentioned -- I  
10 haven't looked. I forget -- I haven't seen sort of the  
11 detail behind what was in this year's budget.

12           In the past, they've looked at hips and knees --  
13 and I think that's literally the language, which now we can  
14 see could mean a few things -- pulmonary and then others to  
15 be determined by the Secretary.

16           MR. KUHN: Okay. Thank you.

17           DR. CARTER: We looked at respiratory, because we  
18 knew that that had been on their radar screen, but the case  
19 counts in the IRFs just isn't high, so we didn't pick it for  
20 that reason.

21           MR. KUHN: Thank you.

22           MR. ARMSTRONG: Last month when we were -- or in

1 the late couple of meetings when we were setting rates and  
2 evaluating the performance overall of the industries, I just  
3 didn't have a chance to go back and look at this. Could you  
4 remind us how are -- what's the financial performance of  
5 IRFs in our most recent analysis?

6 MS. SADOWNIK: The overall, the marginal cost --

7 MR. ARMSTRONG: Margins, yeah.

8 MS. SADOWNIK: -- all industries, about 11  
9 percent.

10 MR. ARMSTRONG: About 11 percent.

11 MS. SADOWNIK: In 2012.

12 MR. ARMSTRONG: Yeah. Thanks.

13 MR. HACKBARTH: Clarifying questions?

14 DR. REDBERG: Thank you.

15 On Slide 13, again, do you know what the mortality  
16 rates were for SNF and IRF? Because anytime I look at  
17 readmissions, I find it helpful to know the mortality,  
18 because obviously dead people don't get readmitted, and we'd  
19 want to know that that was not what was driving the lower  
20 readmissions.

21 DR. CARTER: Right. So at least for our paper and  
22 this entire analysis, because I knew we were looking at the

1 30-day spending, we excluded people who died.

2 DR. REDBERG: But do you have that data?

3 DR. CARTER: I think I can get it. Yeah.

4 DR. REDBERG: Great. Thank you.

5 MS. SADOWNIK: For IRFs, anyway, it's about 0.2  
6 percent died during their stay.

7 DR. REDBERG: Just during the 30-day period is  
8 what I'm interesting in.

9 MS. SADOWNIK: Oh, no. I'm sorry. Sorry. Just  
10 during their stay.

11 DR. REDBERG: Thank you.

12 MR. HACKBARTH: George.

13 MR. GEORGE MILLER: Yes, please. On Slide 13 --  
14 I'm sorry. 9. 9. I'm sorry. Thank you.

15 I noticed that on the -- as you pointed out, more  
16 comorbidities on the SNF side, especially under stroke,  
17 could you remind me with that, what the outcomes were for  
18 stroke, compared to the IRFs, between the SNFs and the IRFs?  
19 It would seem -- if I remember, you're saying that the  
20 outcome is still the same --

21 MS. SADOWNIK: Yes.

22 MR. GEORGE MILLER: -- even though the

1 comorbidities may be more --

2 MS. SADOWNIK: Right. So the outcomes for  
3 mobility were the same, and for stroke, for self-care, IRFs  
4 had slightly -- they had significantly better improvement in  
5 self-care.

6 MR. GEORGE MILLER: Okay. Okay. And then Slide  
7 17, please. What's the difference? Why would rural IRFs  
8 have 5 percent versus urban 4 percent? Can you just give me  
9 the math why it's higher?

10 MS. SADOWNIK: I think the reason for the  
11 difference that we're seeing is that rural facilities do  
12 take higher shares of the -- of patients with the three  
13 conditions that we looked at.

14 MR. GEORGE MILLER: Oh.

15 MS. SADOWNIK: And their add-on payments are  
16 almost exactly the same as urban. So you don't have that  
17 offsetting factor.

18 MR. GEORGE MILLER: But it seems that would be  
19 just the opposite. I thought you said that the add-on  
20 payments were not impacted, but it's the larger share is the  
21 mathematical issue then, the larger share. Okay. I got it.

22 MS. SADOWNIK: That's right.

1 MR. GEORGE MILLER: It's the larger share. Okay.

2 Thank you.

3 DR. CHRISTIANSON: So on page 32 on the bottom,  
4 you're kind of dropping the idea that looking at this for  
5 broader clinical categories might have some merit, and then  
6 it does seem like it might -- and then you suggest that  
7 further research would need to be done. Is that something  
8 you're planning on doing, or is that something that you're  
9 hoping other people will do or what? How do you plan on  
10 proceeding with that suggestion?

11 MS. SADOWNIK: I think the challenge there in  
12 drawing a direct comparison to SNF patients gets into more  
13 challenging research issues to draw a direct comparison.

14 With DRGs, it's considerably easier, because you  
15 are comparing patients. You have sort of a common unit  
16 between IRFs and SNFs of what did they -- what did the  
17 hospital -- what did they have in the hospital, what did the  
18 hospital define them as before they left and went to their  
19 post-acute care. And because patients may be categorized  
20 differently once they get to the IRF and the SNF, in IRFs  
21 the condition that you have is very important to your unit  
22 of payment, and in SNFs much less so. So sort of drawing a

1 more exact circle between those patients would be  
2 challenging for that reason, so --

3 DR. CHRISTIANSON: I get that it's challenging.  
4 You sort of put out there that further research needs to be  
5 done, and my question was, Is that on your agenda?

6 DR. CARTER: Not immediately, no.

7 MR. GRADISON: It's a powerful argument here that  
8 we're overpaying for certain sets of services that are  
9 performed in both types of institutions from which on Slide  
10 16, you derive some estimates of potential savings. My  
11 questions -- my question, really, goes to what is the  
12 significance of -- what would be the significance of that  
13 move to the financing within the IRF?

14 Now, let me explain what I mean. If IRFs receive  
15 less money than they do now for patients that are less  
16 expensive to treat, will it be necessary to reevaluate the  
17 amount that they are paid for the patients that they will  
18 continue to treat, which are more expensive? In other  
19 words, is there a significant degree of cost shifting within  
20 the IRF or income shifting, however which way you want to  
21 think about it, from the money they receive going -- perhaps  
22 some of it, a significant number, arguably, going from the

1 less expensive cases to the more expensive?

2 Another way to phrase the question is, Are there  
3 significant fixed costs that the IRF will continue to incur  
4 mainly because of the requirements, the hospital-based  
5 requirements that the SNFs do not have to -- that would  
6 argue that if they do, if we do what's recommended here that  
7 we're going to -- as part of the analysis take a look at  
8 what we paid the IRFs in the future for the cases in which  
9 they have a particular niche and will continue to be paid  
10 under the current rates?

11 DR. MARK MILLER: I think if I can just interject,  
12 I think there's probably two or three things that the  
13 Commission would have to think about in answering that  
14 question.

15 Scott asked the question of what's their overall  
16 financial performance now, and that's probably something to  
17 keep in mind.

18 Another question is implied -- I think by your  
19 question is, well, there's a certain fixed cost here, and we  
20 have to cover it, but the other thing that could happen is  
21 how these patients start to be treated might change and the  
22 IRF's approach to the patients that they either continue to

1 take under this payment system or substitute other payments  
2 could change. There were changes made in the IRF through  
3 the 75, 65, 60 whatever percent rule, and the IRFs did  
4 respond to that and actually changed some of the underlying  
5 mix of their patients. So some of the answer to your  
6 question also gets behavioral. Do they stay right in this  
7 space, or do they actually respond by going to different  
8 mixes of patients, that type of thing?

9 MR. GRADISON: I guess what this really comes down  
10 to is a suggestion. You might want to take a look at what  
11 the implications, if any, are within the financing of the  
12 IRF. I acknowledge the 11 or 12 percent. I'm not trying to  
13 say that's not a factor, but I still think it would be  
14 interesting to say, "Okay. Granted that, how much would  
15 that come down if this change is made?" I think that's a  
16 meaningful question for us to ask.

17 Thank you.

18 MR. HACKBARTH: Just to pick up on that, when we  
19 talked about site-neutral for LTCH, my recollection -- and  
20 correct me if I'm wrong about this -- is that we were able  
21 to say that the patients that we were cutting payment for  
22 were not disproportionately profitable relative to the

1 others, and so I think Bill is correctly asking what do we  
2 know about the relative profitability of cases within IRFs  
3 right now.

4 Can we say anything at this moment about that, or  
5 is that just something we have to examine?

6 MS. SADOWNIK: That is something that we would  
7 have to examine.

8 MR. HACKBARTH: Okay.

9 MS. SADOWNIK: But you're raising some very good  
10 points about, I think, making sure that in the case of if it  
11 became -- if regulations were waived and it became less  
12 costly for IRFs to treat these site-neutral payments, what  
13 impact would that have on the relative payments for other  
14 cases and making sure that we wouldn't inadvertently pay  
15 more for the other ones? Because IRFs --

16 MR. GRADISON: Paying too much or too little.

17 MS. SADOWNIK: Right. Pay too much or too little,  
18 but because it's sort of a relative value, it's scaled now,  
19 so those sort of points.

20 DR. COOMBS: But isn't that the reason why the  
21 joints were -- seemed as low resource input compared to some  
22 of the other orthopedic procedures like the hip fractures

1 and the knee fractions, and that's the reason -- especially  
2 the knee replacements, they come in with less comorbid  
3 conditions, and even their comorbid conditions are not as  
4 advanced. So they were seen as more profitable, and  
5 therefore, I thought that was why the restriction on the  
6 percentage of patients in those institutions was input.

7 MS. SADOWNIK: The restrictions were not due to  
8 profitability but -- because that's where -- it may be --  
9 you know, they are lower cost than, say, a stroke patient  
10 and paid less than a stroke patient. But I can't comment on  
11 the profitability, you know, the payment to the IRF versus  
12 their own cost but --

13 MR. HACKBARTH: The profitability is a function of  
14 payments and costs. We can say they're less costly, but we  
15 don't know how the relationship between payment and cost  
16 goes.

17 MS. SADOWNIK: Right. But those restrictions in  
18 terms of the 60 percent rule were commenting more on the  
19 need for intense rehabilitation and being treated in an IRF  
20 sort of medical necessity -- you know, need for intensive  
21 rehab compared to -- you know, there are select conditions  
22 that have been identified as needing intensive or deserving

1 of intensive rehab. So stroke, yes, and hip and knee, no.

2 MR. HACKBARTH: But what I hear you saying is that  
3 it is feasible to do the analysis that Bill and Alice are  
4 referring to, look at the relative profitability of cases  
5 that we might be moving, or is that an unrealistic  
6 expectation?

7 MS. SADOWNIK: That would be a very long -- I  
8 think a long-term endeavor to do that --

9 DR. MARK MILLER: Yeah, and I think I'd like to  
10 take this offline and have a discussion and see what we can  
11 do about that.

12 MR. HACKBARTH: Bill, a clarifying question?

13 DR. HALL: Yes. I really thought this was a  
14 terrific chapter, corrected a number of biases that I think  
15 I've had for years on this subject.

16 A couple of clarifying questions. Why did you  
17 pick 30 days as the time frame post-discharge to evaluate  
18 whether there were some adverse consequences of IRFs versus  
19 SNFs?

20 DR. CARTER: It does mirror the Medicare spending  
21 per beneficiary measure, so --

22 DR. HALL: For acute-care hospital --

1 DR. CARTER: Yeah, yeah.

2 DR. HALL: And maybe it --

3 DR. CARTER: Were you thinking something longer,  
4 or --

5 DR. HALL: Well, I think there's significant  
6 clinical differences between the expected rate of  
7 improvement post-hospitalization than post-SNF or SNF. And  
8 if it were feasible to look at 60 and 90 days, I'd be much  
9 more reassured that we haven't overlooked a benefit of one  
10 of the two venues for care, if it wouldn't be too much  
11 trouble.

12 And the other is there was a very tantalizing  
13 paragraph at the very end of the material you handed out  
14 that mentioned there's some industry interest in creating  
15 what might be called "super SNFs." Do you have anything  
16 more to say about that or that is available?

17 DR. CARTER: I can get you information. Some of  
18 the publicly traded firms have been -- talk about their  
19 business strategy and have developed -- they call them  
20 different things. One chain calls them "sub-acute,"  
21 another chain calls them "transition care." And they really  
22 are focused on sort of high-intensive, mostly Medicare, but

1 rehab services.

2 DR. HALL: So it sounds like someone thinks that  
3 if a SNF looks more like an IRF, there might be a market for  
4 it. Is that fair?

5 DR. CARTER: Well, we know that--

6 DR. HALL: I'll just withdraw that. Okay. I'm  
7 fine for now.

8 [Laughter.]

9 MR. HACKBARTH: Okay. Let's move on to Round 2.  
10 Herb, do you want to start Round 2?

11 MR. KUHN: So one question I just have before I  
12 get into a couple observations here is: Are we seeing  
13 anything in the data that shows different movement between  
14 SNFs and IRFs as a result of ACOs? Or is it too soon to  
15 tell? Because the incentive is to constrain costs, and so  
16 our -- when they get to discharge, are they moving folks  
17 more to a SNF, a lower-cost setting, or even to home health  
18 for that matter? And so I'd be curious if we're seeing,  
19 beginning to see any changes there.

20 DR. CARTER: We haven't looked at that, and I  
21 would defer to our ACO folks. Evan and I separately have  
22 been talking to some companies about how the private sector

1 manages post-acute care, and we are seeing -- we are  
2 hearing, at least, anecdotally that in an effort to control  
3 post-acute-care spending, they focus on two things: one,  
4 shortening the SNF stays, and the other is avoiding the  
5 high-cost post-acute-care settings. But I don't know  
6 specifically about ACOs.

7 MR. KUHN: It would just be interesting to see as  
8 we continue to go forward.

9 MR. HACKBARTH: Yes. So I think at the last  
10 meeting Jeff --

11 DR. MARK MILLER: Yeah, I got it.

12 MR. HACKBARTH: -- mentioned that we were starting  
13 to get ACO data that we can begin looking at.

14 DR. MARK MILLER: Yeah. I don't know that we can  
15 slice the data at this point into that kind of detail, but  
16 what I will tell you is the conversations with some of the  
17 ACOs, they have different strategies, and one of the  
18 strategies is to focus on post-acute care, and the sentences  
19 are very similar to what Carol just said.

20 MR. KUHN: Okay.

21 MR. HACKBARTH: And if Craig were on the ball, he  
22 would also ask about the Medicare Advantage data.

1 DR. SAMITT: I was going to do that [off  
2 microphone].

3 MR. KUHN: The encounter data.

4 DR. MARK MILLER: A preemptive strike [off  
5 microphone].

6 MR. KUHN: So a couple additional thoughts. One,  
7 in terms of waiving the regulatory requirements, I  
8 understand that. That makes sense. It sounds to me, I  
9 guess, and the only way that I can kind of frame it, it  
10 almost sounds like a swing bed-type program in the IRF  
11 world, like we have for rural hospitals where they can have  
12 an acute-care bed and then the next day it's kind of a SNF  
13 bed, for intents and purposes. So I don't think it's out of  
14 bounds in any stretch of imagination. It's been done in  
15 Medicare before.

16 But the final two things kind of is where we go  
17 forward on this. So I understand the conversation. I  
18 understand what were trying to accomplish here. But I'd  
19 like to think of it more in the terms of kind of a bridge to  
20 a post-acute-care bundle, because this is -- does this  
21 really take us, move us in that direction to get us to that  
22 stage of where we need to be? Because ultimately I think

1 where we'd all like to see this is where we have good case  
2 management, where they're making the decisions to put the  
3 patient in the right place, and do these policy decisions  
4 we're contemplating now move us in that direction? Or does  
5 it veer us off to one direction or another? And I want to  
6 just make sure we've got good alignment as we think about  
7 that going forward.

8           And in that same vein, we're mostly talking about  
9 post-acute care here, but would it be also helpful to look  
10 at procedures that are admissions from the community? Are  
11 we just looking at folks that have been hospitalized and  
12 then moving to the post-acute-care setting? Are there a  
13 different set of conditions that could be an admission from  
14 the community? Of course, that raises the whole issue of  
15 waiving the three-day prior hospitalization for SNFs and all  
16 those things. But I'm just wondering, again, thinking about  
17 this notion of a bridge to a post-acute-care bundle, are we  
18 limited in our thinking, or are there some other areas that  
19 we could be looking at?

20           MR. HACKBARTH: So here's my thinking about that.  
21 We've had over the years several different discussions about  
22 moving towards a post-acute-care bundle or bundling post-

1 acute care with inpatient admissions and the like. The most  
2 recent of those was -- I don't know.

3 DR. MARK MILLER: Carol, do you remember?

4 DR. CARTER: June of this past year we had a  
5 chapter.

6 MR. HACKBARTH: June last year.

7 DR. CARTER: Yes.

8 MR. HACKBARTH: And each time we've addressed  
9 that, it has been inconclusive, shall we say. Commissioners  
10 have been divided about whether that's a path that we wish  
11 to pursue or at least put -- let's put it this way, wish to  
12 pursue until the current demonstrations are complete. So as  
13 everybody knows, CMS has set up some demonstrations and  
14 various models for bundling either admissions with post-  
15 acute care or just post-acute care by itself. And those,  
16 are they actually up and running yet? They are up and  
17 running?

18 DR. CARTER: Yes.

19 MR. HACKBARTH: But the results from those are  
20 years away, so the demos will run for several years, and  
21 then it'll be years of evaluation. So that track is not  
22 immediate.

1           So our thinking has been that, given that bundling  
2 is not going to happen quickly, given that Commissioners  
3 have said, well, we don't want to press ahead absent  
4 information from the demos, the question then becomes:  
5 Well, what do we do in the meantime in the non-bundled  
6 Medicare fee-for-service program? And that's the question  
7 that we're trying to address here or with LTCH site-neutral  
8 payment, et cetera.

9           MR. KUHN: That's helpful to get that additional  
10 background, Glenn, and I recall those conversations now.  
11 But I just want to make sure whatever we think about it  
12 doesn't -- are we thinking about things -- does this align  
13 with those demonstrations? Does it go in a different  
14 direction, the demonstrations so we have a different result  
15 for maybe where the demonstration -- I just want to think --  
16 just make sure there's some alignment there.

17           DR. COOMBS: If you wouldn't mind putting up Slide  
18 9? Thank you so much for this chapter, and it was almost  
19 like, Carol and Sara, you anticipated some of the questions  
20 that I had, so it was really neat going through the chapter.

21           One of the things that if I thought of one group  
22 to do this project with, it would be the center group,

1 because many of the major joint replacements and knee  
2 replacements that I deal with on a daily basis are coming in  
3 elective. No one really has an elective stroke. And, I  
4 mean, your whole presentation is very different, so that  
5 when these patients leave the hospital, they leave quite  
6 differently from many standpoints.

7           The problem with the stroke category is that there  
8 is such large variability in a stroke, and the recovery, as  
9 Bill has kind of alluded to, is so variable. You can come  
10 in with, you know, hemiparesis and someone over maybe 45  
11 days or 60 days gets to the point where they're more  
12 functional, and your graph that shows mobility and how  
13 someone gets to the place where they can ambulate, maybe  
14 with a walker -- all of those things become very important  
15 past that 30-day period. So I think that stroke is a very  
16 vulnerable category, a DRG to deal with because of the wide  
17 variability of presentation.

18           So if I were going to try this project with -- it  
19 would be the middle category, which has -- they may have  
20 comorbid conditions, but they're coming in electively, you  
21 know, and so that makes that patient very different in terms  
22 of their overall presentation. And to be honest with you,

1 I've seen patients stay a few days in the hospital and even  
2 go home with physical therapy at home. So this is a  
3 reachable goal for that group in the middle. I think that's  
4 where I'd go with that.

5 MR. HACKBARTH: And so just to pick up on Alice's  
6 point, which I think is a good one, if you were going to do  
7 a category of patients which has this inherent variability,  
8 you would only want to do that if you felt confident you  
9 could risk-adjust very accurately within the category to  
10 address those differences.

11 Now, we talked earlier in the presentation about  
12 how this analysis has not been risk-adjusted, the payment  
13 part of it. But the PAC demo did do risk adjustment for  
14 comparison, comparison outcomes.

15 My question is: Should we think about this pay  
16 policy now as having risk adjustment beyond the DRGs? Or is  
17 the DRG classification going to be the extent of the risk  
18 adjustment? Is that clear?

19 DR. CARTER: Yeah. So the DRGs were just a  
20 convenient way to draw a circle around patients that were  
21 leaving the hospital for the same condition. When they come  
22 to the SNF, they're not going to be paid on a DRG basis.

1 MR. HACKBARTH: Right.

2 DR. CARTER: If it's current policy, they're going  
3 to get assigned to a RUG group for each day.

4 MR. HACKBARTH: Right, right.

5 DR. CARTER: And I'll remind you that -- you,  
6 gosh, of anybody remembers that the SNF payment system is  
7 really driven by therapy, much less so than diagnoses, which  
8 is one of the things we like about our alternative design,  
9 which is really basing payments on patient characteristics.  
10 And to that extent, if you thought that the -- under that  
11 scenario, there is a risk adjuster based on comorbidities  
12 and the clinical condition of the patient.

13 MR. HACKBARTH: Okay.

14 MS. UCCELLO: Okay. I think this is really great  
15 work, and I think it's a natural extension of other things  
16 that we've been doing to pursue site-neutral payments. And  
17 when we think about conditions to look at beyond people who  
18 know a lot more about this in terms of clinical things, just  
19 thinking about, you know, patients who have similar needs  
20 and at different sites offer similar outcomes. And it is  
21 worth doing if there are meaningful spending differences  
22 between the two, or whether it facilitates better this move

1 to a site-neutral payment, even if the spending differences  
2 are a little less.

3 Kind of building off what Herb's discussion was  
4 about, I know we can be frustrating in that we want to go  
5 fast, except when we don't. And, you know, sometimes when I  
6 look at this stuff, as well as some other of our site-  
7 neutral payments, sometimes I feel like we're micromanaging  
8 on these very narrow things. But, on the other hand, I  
9 think about how, you know, even when we will kind of  
10 eventually move to these broader units, we still have to  
11 kind of have the payments right in the first place to be  
12 able to do that. So I think this is, you know, still very  
13 worth doing.

14 One thing that was interesting in the output here,  
15 results, was that the spending for the IRF was actually less  
16 than the SNFs for hip fractures or something, and so, I  
17 mean, I think we should think about going both ways, not  
18 just all going to the SNF. If it is actually less than IRF,  
19 I think there's argument to be made to make those the same  
20 as well.

21 In terms of the requirements, I think it makes  
22 sense to relax some of those, similar to what we did for

1 LTCHs, if we're paying the same, then we should relax some  
2 of those. And we didn't really talk about it, but the  
3 chapter talked about in terms of the threshold, perhaps  
4 lowering it or tightening, if that seemed to make sense.

5 DR. MARK MILLER: Just to the extent that the  
6 preliminary cost savings or spending savings, they assume  
7 both the up and down, right? And so we laid out the fact  
8 that in two instances it went down but in one it went up,  
9 and the impacts are the net. So we actually raise the  
10 payment in the third case.

11 MS. UCCELLO: Right. And I'm saying we shouldn't  
12 do that. We should go the other way.

13 DR. MARK MILLER: Okay. That's what I wanted you  
14 to try and see what you were saying. So far we've just  
15 assumed the chips fall where they may.

16 MR. HACKBARTH: Yeah. Just one other thought  
17 about this relationship of this work to, say, future  
18 bundling. You know, another way to think about these things  
19 is as a potential catalyst, you know, when you sort of shake  
20 things up, and it's a reason for people to say, well, maybe  
21 moving into a bundled payment system would be a better  
22 model. And so that's, frankly, how I think of some of these

1 things, as just get the system moving, shake it up a bit,  
2 create some dynamism.

3 DR. HOADLEY: So on the broader policy issues, I  
4 think, you know, I'm pretty in sync with these last couple  
5 of comments in terms of how we go. I wanted to just zero in  
6 on a couple of more specific things that partly were  
7 triggered by some of the first-round questions in one case.

8 When you talk about Slide 13 about the 30-day  
9 spending and it's higher in the IRF, and you said part of  
10 that may be as a result of the fact that the stays are  
11 shorter in the IRF, so we're at a sort of different point  
12 post-hospital. Does it make sense to look at spending 30  
13 days or 60 days, or whatever, from the original hospital  
14 discharge as a way to balance out that kind of look? Is  
15 that something that would make sense as another analytical  
16 line?

17 DR. CARTER: I understand your question. I don't  
18 think we could do it sort of for this round of analysis,  
19 but, yeah, in that sense it would -- you're right that 30  
20 days added to a 14-day stay is different from a 30-day stay  
21 added to a 25-day stay. You're sort of capturing different  
22 recovery periods, if you will, and we could do that down the

1 road if we decided to pick up on it.

2 DR. HOADLEY: Okay, yeah. And the other thing, I  
3 guess when I started reading this chapter, which was really  
4 very helpful in going through this issue, I started to  
5 think, well, given the requirements, what am I expecting?  
6 You're going to make these comparisons of the different sets  
7 of patients in the two settings. And I realize, you know,  
8 there's this interesting contradiction in the IRF  
9 requirements that you're saying somebody needs to be -- you  
10 know, should be in a situation where they've got the  
11 presence of the doctors and all the facilities of the  
12 hospital. On the other hand, they've got to be healthy  
13 enough to withstand this longer, potentially longer amount  
14 of therapy every day. And, you know, you come out with a  
15 very consistent set of measures done lots of different ways,  
16 which is very powerful, that overall these patients aren't  
17 very different, a little bit here, a little bit there and  
18 different things. But the net stories is that they're not  
19 very different.

20 You know, is that just the contradiction? Is any  
21 of that the result of this contra -- I mean, I don't know if  
22 this is an answerable question, but the contradiction in

1 these requirements that on some dimensions they have to be  
2 healthier, in some dimensions in a sense they have to be  
3 less healthy. And is there anything else to sort of capture  
4 if there's a subtle difference in there somewhere to capture  
5 that?

6 MS. SADOWNIK: I think one thing that has been a  
7 caveat in a lot of the research comparing outcomes in IRFs  
8 and SNFs is also the factor of motivation to be able to meet  
9 the IRF requirements, that you have it in you to be doing  
10 three hours of rehab a day.

11 Also, one other point on the spending that relates  
12 to both your first question and your second, you know, maybe  
13 in terms of motivation or other external factors, is that  
14 some -- is that some SNF patients are also going back to a  
15 nursing home more than IRF patients would, so maybe you go  
16 home with home health, you have someone at home, and that  
17 home health spending would be recorded for the SNF. But,  
18 you know, your nursing home spending would not be recorded  
19 for the SNF. Did I say that backwards?

20 DR. CARTER: No.

21 MS. SADOWNIK: Good.

22 DR. MARK MILLER: I think there probably is

1 something to what you're saying. I think probably some of  
2 the patterns do reflect this puts and takes, given what the  
3 patient has to be able to do in the IRF, and I think some of  
4 that drives one of our concluding points that we want to  
5 unpack I think the stroke group a bit and try and figure out  
6 whether there are some distinctions in there, even below the  
7 -- I know we've tried to look at this orthogonally, a number  
8 of different ways, but whether there's even yet another pass  
9 through this, and I think some of it is driven by exactly  
10 what you're saying.

11 DR. NAYLOR: So, first, I also echo everyone's  
12 comments about this was a terrific analysis, and I think  
13 it's invaluable in helping to determine what might bundle  
14 payment or could it look like in the future. And so I would  
15 say down the road, I would start even a little further back.  
16 I mean, I think it would be interesting to know when the  
17 index hospitalization started and whether or not this  
18 provided an alternative to earlier discharge, knowing it was  
19 to the IRF, and then what the patterns are. So you have  
20 this amazing opportunity here to kind of help understand the  
21 trajectories of the entire experience with care.

22 I do want to echo people's comments about

1 mortality rates I think are going to be very important here.  
2 Carol and Sara know about a report, we've just become aware  
3 of, where adverse events in SNFs are very high, 22 percent  
4 while in the SNF experience adverse events, another 11  
5 percent experience temporary problems as a result of that,  
6 and an estimate 60 percent are preventable. So knowing what  
7 the experience of care is like, the quality, and whether or  
8 not that -- I mean, certainly, we should be taking that into  
9 consideration.

10           The other thing I was interested in was the whole  
11 notion -- and I don't know if we have any data on this -- on  
12 the experience with care. What's it like to be in someplace  
13 15 days versus twice that in another in terms of the  
14 beneficiary's experience with care?

15           Last couple comments. I think a later chapter on  
16 risk adjustment says, well, first, how important it is and,  
17 secondly, how it doesn't always do as well, even with the  
18 advances in it, in predicting the costliest of patients.  
19 And as you look at these data, it really does suggest that  
20 maybe the best opportunity here is with the costliest of  
21 patients for whom there is the biggest differential between  
22 SNF and IRF, and yet they may be the hardest for us to

1 really understand, the stroke patients. And I especially  
2 think that's a challenge because, as you point out, a very  
3 high percentage, much higher percentage go into SNFs who are  
4 over 85 and are likely not going to ever benefit from the  
5 IRFs, et cetera.

6 So I think it's a really amazing opportunity, and  
7 I think we have some more understanding. We need more  
8 knowledge to better understand what the policy options might  
9 be here.

10 MR. BUTLER: So I think I'm next. Yeah.

11 So one observation I have is that this site-  
12 neutral issue seems to have a little clearer path to me than  
13 some of the other ones that we've been on.

14 If you think about it, for example, something like  
15 the ambulatory surgery centers would get clouded by the fact  
16 that they're often a physician down, and they don't take  
17 much Medicaid, so we somehow factor that in indirectly, or  
18 the HOPD issue, we're worried it disproportionately  
19 impacting some, and we're conscious of the incentive to  
20 employ physicians. It's another variable to consider.

21 I think in this case, everybody is more aligned  
22 with fewer extraneous variables, and when you think about

1 it, the MA plans have a high incentives to put them in the  
2 right place and the ACOs do, and even every single hospital  
3 that now is getting the medical spending per beneficiary  
4 data should be -- so you got alignment to kind of make this  
5 right, and that by itself should help make this adjustment.

6           And even the bundled payments, for example, in  
7 joints, I don't know anybody that would be submitting a  
8 bundled payment and say, "Guess what? A key part of my  
9 bundle for the joint is an IRF stay." It just wouldn't be  
10 in there.

11           So I think this is pretty well aligned, and then  
12 not the least of which, Scott asked the -- reminded us of  
13 the economics. You recall that the freestanding for-profits  
14 are more like the 20 percent margin, not the 11 percent  
15 average for IRFs overall. So it's an area that's pretty  
16 profitable as it is.

17           Now, getting more directly to the questions at  
18 hand, I think Alice articulated very well, better than I  
19 would have, the elective joints as being kind of a very easy  
20 place to go ahead and deploy this, and I also think that,  
21 secondly, the relaxing of the standards for IRFs for those  
22 that are getting paid the same rate ought to be done. So

1 I'm pretty comfortable with that, and I, too, get a little  
2 less comfortable with strokes, both because of that  
3 readmission rate issue -- and frankly, my -- Rita will  
4 probably slap me because it's not science-based, but my  
5 general sense that the variability and quality in the  
6 nursing homes and the number of adverse events kind of that  
7 occur there, I just feel a little less secure about that for  
8 a stroke, for example, versus IRFs. And I don't have the  
9 data to support that, but it's a sense of the settings I've  
10 been in and the markets I've been in, there is a difference.

11 MR. HACKBARTH: Peter, on your first point, are  
12 you saying that you think that there are enough other forces  
13 pushing towards thinking carefully about appropriate use of  
14 IRFs versus SNFs, that this is not something that we should  
15 be worried about, or just what's your conclusion from that  
16 observation?

17 MR. BUTLER: I'm less worried about it. In fact,  
18 I even thought this is a chapter that's not only good for  
19 Congress; it's great for everybody that's trying to -- we've  
20 got other customers that should be grabbing on and saying  
21 let's run with this.

22 So I feel definite, though, that still going ahead

1 with some component of this like joint at the same time  
2 would be -- just our demonstration, we can bite off  
3 something and show that it can work, but we don't have to  
4 stretch too far, because the market and these incentives  
5 will move along as well.

6 MR. HACKBARTH: Was it on this point?

7 MR. KUHN: Yeah. And just to chime in on that a  
8 little bit, one of the other market forces, as Peter was  
9 talking, I was thinking about, if I understand right, there  
10 is a number of LCD policies out there that are pushing  
11 pretty hard in terms of kind of to restrict, I think, some  
12 of the movements to some of the ultra-high RUGs, therapy  
13 RUGs that are out there.

14 Also, I think we need to think about some of the  
15 policies regarding the RACs as well and how that's just  
16 changing the nature out there. So I think there's a lot of  
17 movement out there, in addition to what Peter said, also  
18 kind of narrowing the difference here too.

19 MR. HACKBARTH: Yeah. On Peter's gut instinct  
20 about variability and quality and SNFs versus IRFs, in the  
21 chapter, it talked about some analysis that you did of SNFs  
22 in markets with IRFs versus SNFs in markets without IRFs,

1 and there are bit swaths of the country where there aren't  
2 IRFs. And so these patients, presumably, are all being  
3 cared for in SNFs. Does that sort of analysis shed any  
4 light on this?

5 DR. CARTER: So we did look at whether the  
6 differences look the same in markets with both types of  
7 facilities.

8 I was also interested in whether the SNF patients  
9 looked different in markets where you didn't have an IRF,  
10 and I was pretty surprised. You just don't see big  
11 differences in the patients in terms of their  
12 characteristics.

13 Now, I am still waiting for the outcomes data  
14 sorted by that, so -- and some of the risk-adjusted outcome  
15 from the PAC demonstration, I won't be able to do, but I can  
16 look at readmission rates and the 30-day spending, and I  
17 plan to do that.

18 DR. HALL: So I think we're coming to kind of a  
19 convergence of opinion around the table that this is an  
20 important aspect of the care system that you've dissected  
21 out for us, and I guess I have two kind of hopes out of our  
22 discussion and further analysis.

1           One is I hope we will rationalize payments systems  
2 for both of these systems, but if we're going to do it by  
3 eliminating the IRFs, I think I would be very cautious. A  
4 well-run IRF -- I realize they are not in every geographic  
5 area -- can make an enormous difference in a wide variety of  
6 patients. It's probably the outstanding paradigm of  
7 interdisciplinary care. It works, and I don't know whether  
8 it's ever within the scope of MedPAC and the staff, but I  
9 wonder if a visit to a really well-functioning IRF would be  
10 quite revealing to you. And there are plenty in the D.C.  
11 area. I think you would see something there that is --  
12 while it's intangible and can't be described statistically,  
13 it just simply makes a lot of sense.

14           But I think the IRFs, their hands have been tied  
15 by impossible forms of regulation, the 60 percent rule, the  
16 time limitations, but somewhere in the health care system of  
17 the future, we need an IRF or an IRF-oid or a SNF on  
18 steroids that would allow us to provide the kind of care,  
19 particularly for this huge population of older people who  
20 end up with a tremendous amount of frailty. And unless we  
21 do something about that, then they are going to be  
22 readmitted not within 30 days maybe, but in 60 or 90, or

1 they're going to die, as has been mentioned here. So let's  
2 not throw the baby out with the bathwater as we move along.

3 MR. GRADISON: I think this is a really  
4 groundbreaking piece of work, and I congratulate you for it.  
5 I join with others who see the benefits that it may have to  
6 decision-making outside of the Medicare program or at least  
7 outside of the fee-for-service part of the Medicare program,  
8 which leads me to this observation. That I wonder, as in  
9 this instance there are others, what opportunities or  
10 responsibilities MedPAC may have to try to get this sort of  
11 thing out to people who are the decision-makers, let's say,  
12 within the MA plans or within the ACOs who have to make  
13 decisions with regard to this. This simply raises  
14 questions, which they may or may not have considered before  
15 in terms of appropriate placement.

16 I don't have an answer to that question, and I  
17 know that's not what we're created to do, but I think when  
18 we come across work of this quality, it's worth at least  
19 raising the question of whether we're keeping this hand on  
20 or under a barrel or bushel or whatever it is.

21 MR. HACKBARTH: You're suggesting, Bill, that not  
22 everybody reads our red books?

1 [Laughter.]

2 DR. CHRISTIANSON: So I also was impressed with  
3 the work, and I was a little bit overwhelmed with all of the  
4 different things that you had to do to try to make sure that  
5 you were comparing apples to apples as you were doing this.

6 And then Alice's comment focus on major joint  
7 replacement, there seemed to be some sort of consensus  
8 around that, I thought.

9 I worry that we've got this general principle that  
10 we all support, which is equal payment by site of care, but  
11 when you start focusing down and narrowing down on when is  
12 it feasible, when do we feel comfortable doing it, when can  
13 it really work, we get narrower, narrower, and narrower.  
14 And so we really -- I just worry whether we can implement  
15 this principle in any broad scale. Given the discussion  
16 that we had today, maybe this gets to your point, Glenn,  
17 about providing a demonstration around one major joint  
18 replacement or a prelude to moving towards bundled payment.  
19 But in terms of actually implementing the principle, it gets  
20 more and more complicated in my mind whether we can actually  
21 do something major in this area.

22 Also, I wonder whether the staff or Commission has

1 talked about given that we're looking at this across  
2 different kinds of care, whether we are using a common  
3 analytical assessment approach in terms of -- you guys did a  
4 great job of laying this all out. Now, is that same kind of  
5 approach used every time we look at comparing different  
6 sites of care? Is there an implementation part of that,  
7 that the implementation was kind of woven?

8           And your discussion I think throughout -- well,  
9 my point I made under clarifying questions was you said,  
10 well, it would be great if we could do this in broader care  
11 bundles, and we could probably -- there's some real  
12 advantages, that we need more research, we're not going to  
13 be doing it. I don't know where that leaves us, but is  
14 there an implementation part of this assessment as well? Is  
15 there a common approach that we're using that will allow the  
16 Commission to look across these different analyses done by  
17 different staff members and sort of feel like, yeah,  
18 everything is sort of analytically on the same plane, so  
19 that the results we're getting are not due to sort of  
20 different analytical approaches that are being used by staff  
21 across different reports? That's just a question.

22           DR. MARK MILLER: I mean, we have thought about

1 this and talked about it as a staff and to you, and the  
2 answer is we're certainly trying. So the way I see it is we  
3 did some work in the ambulatory setting where we asked  
4 questions like does this happen a lot in these two different  
5 settings, physician and the OPD, and we asked other  
6 questions, but we also said and are the risks between these  
7 two different -- the risk profiles of these two different  
8 populations different.

9           And in a sense here, this is part of what they've  
10 done. They went and looked at procedures that were done  
11 frequently in two different settings, and then all that  
12 layer of data, one after another, is our attempt, because  
13 there's no common assessment and ability to look at a risk  
14 score to try and ask the question are the risk profiles of  
15 these two people different.

16           Now, obviously, what you have to work with and how  
17 you can implement the framework varies from setting to  
18 setting, but they're certainly an attempt. And in the LTCH  
19 acute care conversation where we went to a site-neutral  
20 payment, it was kind of the same drill, looking at the  
21 complexity of the patients using the CCI type of approach,  
22 which I won't bore everybody with. But the attempt is to

1 look through the lens consistently and then pull the tools  
2 together that we can pull to.

3 DR. CHRISTIANSON: And I appreciate that.

4 So I'm wondering whether we have explicitly said  
5 here is the framework and at the end can say we can't really  
6 apply this part of the framework for this particular  
7 comparison we're making. We don't have the data, or the  
8 risk adjustment isn't there or something else. So at the  
9 end of the analysis, imagine a table with the cells in it  
10 that says, okay, this cell we just can't do here, as  
11 compared to this other time, we tried to apply the framework  
12 and we could actually do reasonable risk adjustment for this  
13 kind of care. That's an example for this kind of care.

14 For me, just keeping this sorted out in my mind  
15 with something like that would be helpful.

16 DR. MARK MILLER: And we can certainly do this,  
17 and this response is not intended to sound in some ways the  
18 way it might sound.

19 [Laughter.]

20 DR. MARK MILLER: Remember, it's intent. It's  
21 intent.

22 [Laughter.]

1 DR. MARK MILLER: Yeah, it's going to be much less  
2 dramatic. I mean, part of that is what we're asking you to  
3 grapple with. We're trying to like lay things out in cells  
4 and get our clinicians and our economist types and so forth  
5 to look at this and kind of go, "Is this one hitting the  
6 mark or not?" And so I think we're kind of -- that is  
7 exactly what we're trying to do, but pulling this together  
8 in a summary fashion, here is the framework, here is how we  
9 think we're addressing it. We can decidedly do that and to  
10 move the conversation along.

11 DR. BAICKER: So my comment was along the same  
12 line as John's, but I wonder -- my interpretation of the  
13 narrowness with which we are applying these is that, really,  
14 it's pretty reassuring that it could be done more broadly in  
15 terms of the lack of differences in patients, the patterns  
16 of differences in outcomes. To me, it suggests the  
17 potential for much wider applicability, but we start with  
18 the most conservative, narrowest bucket, and I leave it to  
19 those with more clinical knowledge to define better what  
20 that bucket is. But you start with something where there is  
21 really the strongest evidence that it's apples to apples and  
22 that you're equalizing equal things. But that's a proof of

1 concept. That I think the evidence supports doing it much  
2 more broadly, but there's reason to be cautious in starting  
3 too broadly.

4 So even though we're struggling with the specific  
5 place to apply it here, to me that is a product of being  
6 very conservative appropriately in thinking about where to  
7 start, not a limitation in potential applicability if the  
8 proof of concept plays out well.

9 MR. GEORGE MILLER: With that said, I would agree  
10 with Alice's statement that, certainly, the major joint  
11 replacement makes sense, the selective procedure, but stroke  
12 has so many different things. And I think Mary mentioned  
13 the fact that we may want to start back with the admission  
14 and look at it from that standpoint and maybe in the 60 days  
15 afterward to see the total spend. So that moving forward,  
16 if apples to apples, we feel comfortable and the major joint  
17 makes some sense and maybe even hip and femur procedures --  
18 it's just those are not always elective. But I'm not so  
19 sure I would move forward with the stroke at this point.

20 DR. REDBERG: Well, I also want to compliment you  
21 on an excellent chapter, and my sort of major takeaway was I  
22 was impressed with how similar sort of the patients were

1 between IRFs and SNFs and how similar the outcomes were,  
2 which made me feel that this certainly was an area to think  
3 again our principle of site-neutral payments.

4           And actually, I'm less concerned about the  
5 differences, because from a beneficiary point of view, I  
6 feel like there are some advantages not to have been  
7 shuttled to one or another, because people change during the  
8 course of their rehab, and somebody who might have not been  
9 able to get 3 hours of therapy when they started might after  
10 a week be able to get that. And so from a beneficiary point  
11 of view, I think there's also a lot of advantages for not  
12 having it so separate, the way we do now.

13           DR. NERENZ: I would just be interested in your  
14 thoughts on how the dominoes might fall if this policy were  
15 implemented in one particular area.

16           If these patients that we're talking about stayed  
17 in the IRF setting, but then the rules were modified and  
18 less therapy was provided and whatnot, one result then is  
19 that the IRFs and the SNFs would look more like each other  
20 than they do today -- their staffing, what happens on a  
21 daily basis -- and so that's one track.

22           But it could go the other way, that the IRFs could

1 decide or the referring physicians could decide that the  
2 patients for this payment rate really belong in SNFs, and in  
3 that case, the IRFs and the SNFs would less like each other  
4 than they do today.

5 Do you anticipate it going one way or the other?  
6 Should we care if it goes one way or the other?

7 MS. SADOWNIK: I think that's a very central  
8 policy question for discussion, and we would value your  
9 input on that. I think you have done a good job of laying  
10 out two paths and reasonable implications of those paths.  
11 So I think it's an open question. Would you want those two  
12 facilities to look more different and more specialized and  
13 IRFs to be -- sort of probably have a smaller patient base,  
14 or would you want, as Rita said, a system where you can have  
15 more variability and continue to have that, that overlap?

16 DR. CARTER: I think that IRFs have shown some  
17 ability to change their mix of patients over time, and so we  
18 don't know that that wouldn't happen again.

19 This policy would retain IRFs and the key role  
20 that they play for the types of patients that Sara mentioned  
21 before. So we're not saying their whole mix needs to  
22 change, right? We're just saying for these types of

1 patients that probably could be treated in a SNF, we're just  
2 going to pay you like a SNF.

3 Now, would IRFs respond by changing their staffing  
4 and having maybe a wing, so that that's actually -- there  
5 really would be some efficiencies there? I don't know.

6 One thing we did look at was whether -- let's say  
7 IRFs stopped taking these patients, because even at the  
8 margin, they weren't -- it wasn't advantageous to take them.  
9 So I looked at whether -- because SNFs have a reasonably  
10 high occupancy rate. It's about 83 percent, but there  
11 actually aren't that many patients we're talking about, and  
12 so the average occupancy, taking all of these patients into  
13 SNFs, would add about a half a percent on the occupancy  
14 rate.

15 So we have adequate capacity on the SNF side, and  
16 I guess it remains an open question whether IRFs would opt  
17 to continue to treat these patients with the flexibility of  
18 changing the way they practice, and I don't know about that.

19 I guess -- and one last thing. Some of the IRF  
20 industry has been interested in sort of this continuing  
21 hospital concept where the patient stays in the bed, but  
22 what they do to them changes over time. And this is kind of

1 like that. I mean, that they're talking about an episode  
2 payment, that's not this really. It may include less  
3 things, depending on how you define that, but at least that  
4 tells me that there's been some interest to treat a less  
5 complex mix of patients, given some relaxing of regulatory  
6 requirements.

7 But you raise a good question.

8 DR. NERENZ: And I don't have my own preferred  
9 answer to it. I just observed that this comes up anytime we  
10 have the site-neutral discussions. As we think about how  
11 the dominoes fall, are the patients going to stay in the  
12 setting they're currently in, but then that setting adjusts  
13 what it does to adjust to the lower payment, or the patient  
14 is going to move, and do we want it to go one way or the  
15 other? And I don't -- in this case don't have a clear sense  
16 myself.

17 DR. SAMITT: So three quick things. Great  
18 chapter. Thank you.

19 In terms of selecting new cases -- and I apologize  
20 if this is a predictable answer for me -- I don't think we  
21 need to start from scratch. I think we should look out to  
22 see where there are examples of more accountable models of

1 care to determine what they are doing with these cases, and  
2 it's not just MA. It's MA. It's ACO. It's the value-based  
3 private sector models. I'd be curious to know when there is  
4 closer accountability to the provider level, how are they  
5 deciding differently between IRF admission versus SNF  
6 admission by diagnosis, and it may highlight for us where  
7 the next round of opportunities would be.

8           The second is I absolutely would support the  
9 waived IRF requirements. I think if we will do this, we  
10 need a way to allow the IRFs to variabilize their costs. So  
11 if they don't require 3 hours of therapy or what have you,  
12 that we need to allow them the freedom to preserve these  
13 patients in those facilities.

14           And the third thing is, while I know we may not be  
15 ready for post-acute care bundles, it was striking to me,  
16 this side in particular, would we ever think about a penalty  
17 for a second post-acute care stay? So instead of -- so kind  
18 of like a readmission penalty of sorts, but that if there is  
19 a second post-acute care stay after an IRF stay, that there  
20 is a penalty for that, so that we don't encourage that type  
21 of additional 30, post-30-day cost.

22           MS. SADOWNIK: There is actually a payment penalty

1 for IRFs for going to discharge to another -- to a SNF if  
2 the patient has stayed below a minimum number of days. I  
3 think below the average.

4 DR. CHERNEW: Yes. So I also liked this very  
5 much, and I'm very supportive of the general direction,  
6 largely for two reasons. I think it's an issue of fairness,  
7 and I think it's an issue of stewardship of resources.

8 And let me just say one thing about that. As a  
9 general principle, my view is if the outcomes are similar,  
10 payments should be similar, even if the cost of treating  
11 folks or the resources used are different, and therefore,  
12 that makes the fundamental question, which we've had some  
13 discussion about, of whether or not the outcomes are  
14 similar. And there's issues about the time horizon.  
15 There's issues about heterogeneity.

16 The one thing I don't know a lot about is there's  
17 probably, although I wouldn't know, a pretty good -- and  
18 maybe that's just optimistic. I'd like there to be a better  
19 -- surely literature -- on the cost effectiveness of these  
20 types of services. This is really a debate about giving  
21 certain types of services to certain types of people, and I  
22 think it should be more a debate about that and less a

1 debate about the setting in which they get those particular  
2 types of services.

3           And the fact that some markets don't have IRFs  
4 demonstrates that you can get certain types of services in  
5 other settings. So I'd rather see it, broadly speaking, be  
6 more about service and less about site.

7           So per David's question he asked a minute ago is I  
8 don't think it matters if you get people who are in the IRF  
9 to go to the SNF and get a sort of set of services or people  
10 that were in the IRF, staying in the IRF, and getting a sort  
11 of set of services. It's about the service and the  
12 treatment more than the site, and I think the challenge that  
13 we have to face, which we don't really discuss very much,  
14 about site is whether there's economies of scale or scope of  
15 somehow putting these types of patients together. And that  
16 arises across a whole bunch of things.

17           So would there be a big problem for people that  
18 are getting services that have to be in an IRF if you pulled  
19 out a certain type of patient and sent them to a SNF? That  
20 would be a problem.

21           I think in this setting, there's not a lot of  
22 evidence on that. I don't think that's really a big

1 concern. I think that the IRFs now are quite profitable, so  
2 I'm not worried that at the margin, we're going to do  
3 something horrible that's going to be bad, and I don't see a  
4 lot of evidence, although several people have asked, that  
5 the payments are too low for these other types of services  
6 that we're not putting in here. And it seems relatively  
7 modest in the starter set of services that Kate mentioned as  
8 a way to begin.

9           So I think given the basic principle of paying  
10 similarly, if the outcomes are the same and ensuring people  
11 get the right amount of services that matters, but I'd much  
12 rather see the debate be about the cost effectiveness and  
13 value of particular types of services for particular types  
14 of people than a debate about where those people should be.

15           MR. ARMSTRONG: Just very briefly. I don't have  
16 anything new to add. I do want to affirm, though, that I  
17 think the work is excellent. That the two policies that  
18 this advances, this equal payment for comparable services  
19 and this notion that there's a better way of bundling post-  
20 acute care services, this helps with both.

21           I think it helps, frankly, reinforce the  
22 importance of equal payment for comparable services much

1 more than it helps advance this notion of rationalizing  
2 payment for well-managed post-acute services, which is why I  
3 do want to reiterate a point Craig made that there are a lot  
4 of questions about.

5           So what would the implications of this be? I  
6 think there are a lot of organizations we could spend just a  
7 little bit of time with and imagine or feel much more  
8 confident about. There is a kind of a rational way of  
9 looking at this, and this can come together in I think  
10 really smart ways.

11           Finally, I also agree that the IRF requirements  
12 should be lifted in order to make sure this is a level  
13 playing field and that we should look at what exactly that  
14 means, but I think that's the right direction to head in.

15           MR. HACKBARTH: Okay. Good job, and look forward  
16 to hearing more about this.

17           So we will now shift gears and have a discussion  
18 about measuring quality across Medicare's delivery systems  
19 by which we mean traditional Medicare, free choice of  
20 provider, fee-for-service, ACOs, Medicare Advantage Plans.

21           John?

22           MR. RICHARDSON: Thank you. Good morning,

1 everybody.

2           At its November 2013 meeting, the Commission  
3 discussed whether and how to significantly streamline  
4 Medicare's quality measurement strategy. Today we will  
5 summarize the key points from that discussion, which  
6 considered refocusing Medicare's quality strategy on using  
7 population-based outcomes and other metrics to synchronize  
8 quality measurement across Medicare's three delivery and  
9 payment systems at the level of local health care market  
10 areas.

11           Then for the main event today, we will present the  
12 results of two analyses that illustrate another quality  
13 concept the Commissioners asked us to explore, which is  
14 measuring the potentially inappropriate use of services.  
15 The idea here is to explore the feasibility of using  
16 existing data sources, such as fee-for-service claims, to  
17 cast light on potentially unnecessary or even harmful  
18 service use.

19           Last, we will tee up several discussion questions  
20 and look for your guidance on the directions you'd like us  
21 to take this work.

22           This slide summarizes the Commission's concerns

1 with the current quality measurement activity in fee-for-  
2 service Medicare, which we discussed at length in November,  
3 in the mailing materials, and at the meeting, so I won't  
4 dwell on them here. But, of course, we can come back to  
5 these issues as needed during the discussion.

6 In light of these concerns, we started discussing  
7 an alternative strategy in November that would measure  
8 quality at a population level across fee-for-service  
9 Medicare, Medicare Advantage, and Medicare accountable care  
10 organizations in local health care market areas. This  
11 alternative strategy would use a small set of outcome  
12 measures that are listed on the slide. I wanted to take a  
13 moment and call out one of the measures, the Healthy Days at  
14 Home measure, which we did not talk much about in November.

15 Staff is actively developing this concept, which  
16 involves capturing at a minimum how many days that a  
17 beneficiary stays alive and out of the hospital. We are  
18 starting the development with a combined mortality and  
19 readmissions measure and also exploring other permutations,  
20 such as how to include the use of post-acute-care services,  
21 and we hope to have more to report on this measure in the  
22 next report cycle.

1           Another significant area of discussion in November  
2 amongst you was whether Medicare's quality strategy should  
3 also include measures to monitor the undesirable responses  
4 to the financial incentives in each of the three major  
5 payment systems, for example, being able to detect the  
6 overuse of services in fee-for-service Medicare and underuse  
7 in Medicare Advantage and in ACOs, at least those that  
8 operate in a two-sided risk model.

9           This idea of measuring potentially inappropriate  
10 use of services is the main topic of our session this  
11 morning, and we will return to it in just a moment. But  
12 before I do that, I wanted to acknowledge one other major  
13 issue that we aren't planning on discussing today but will  
14 return to in April, which is how to delineate the local  
15 areas within which Medicare could measure quality across  
16 fee-for-service Medicare, MA, and ACOs. We talked about  
17 this a little bit in the mailing materials, and I just  
18 wanted to acknowledge it here.

19           The ideal technical solution would be areas that  
20 perfectly matched local health care delivery markets within  
21 which we could identify MA enrollees, Medicare patients that  
22 are attributed to ACOs, and fee-for-service beneficiaries.

1 But until we can refine this idea, we have to use  
2 alternatives, such as core-based statistical areas and  
3 metropolitan statistical areas, which were used in the  
4 illustrative analyses that Ariel and Kevin are going to  
5 present in a moment.

6 In April, we will return with a revised version of  
7 the population-based admission and ED visit rate analysis  
8 that we presented in November, which, as you might recall,  
9 used the Dartmouth Atlas Hospital Service Areas. We'll use  
10 this as an opportunity to tee up further discussion of this  
11 whole issue. We don't want you to think we're ignoring it.

12 All right. Back to the main topic today, which,  
13 as I mentioned a couple of times now, is measuring the  
14 potentially inappropriate use of diagnostic and therapeutic  
15 services.

16 The concept of potentially inappropriate use  
17 includes both underuse and overuse. Underuse measures are  
18 designed to detect the inappropriate withholding of  
19 clinically indicated care. Overuse measures monitor the use  
20 of services that have little or no benefit for patients or  
21 may even expose them to risk of harm.

22 Most of the quality measure and activity in the

1 U.S. health care system to date has been focused on  
2 detecting underuse, best exemplified by the Healthcare  
3 Effectiveness Data and Information Set, or HEDIS, which most  
4 of you are very familiar with and has been used to measure  
5 quality in managed care organizations for a number of years,  
6 including, of course, in Medicare Advantage.

7           However, as several of you have pointed out,  
8 underuse measures may not be the best way to evaluate  
9 quality in a payment system where providers are reimbursed  
10 for every single service that they perform. Instead,  
11 overuse measures may be better indicators of quality for  
12 that kind of payment system.

13           Ariel and Kevin will now present two types of  
14 analyses to illustrate the potential applications of overuse  
15 measurement.

16           MR. WINTER: Okay. CMS has developed six measures  
17 of the appropriate use of imaging in hospital outpatient  
18 departments, and these are all listed in your paper.

19           The purpose of these measures is to: limit  
20 beneficiaries' unnecessary exposure to radiation and  
21 contrast agents; improve providers' adherence to evidence-  
22 based guidelines; and reduce unnecessary spending by the

1 program and beneficiaries. These measures are based on  
2 claims rather than medical records.

3 CMS publicly reports scores on these measures at  
4 the hospital, state, and national level. But hospitals are  
5 not subject to financial penalties or rewards based on how  
6 well they perform on these measures.

7 An important issue is whether hospitals,  
8 physicians, or both parties should be held accountable for  
9 the appropriate use of imaging studies performed in  
10 outpatient departments.

11 On the one hand, hospitals provide the facility,  
12 the imaging equipment, and the staff, and they may also  
13 employ the radiologists who interpret the studies. On the  
14 other hand, physicians determine whether or not to order an  
15 imaging study and what type of study to order.

16 So we selected three of CMS' imaging measures for  
17 further analysis: patients with low back pain who had an  
18 MRI without first trying conservative treatments; CT scans  
19 of the chest that were combination, or double, scans; and  
20 patients who got cardiac imaging stress tests before low-  
21 risk, non-cardiac outpatient surgery. We only used the CMS  
22 measures that have been endorsed by the National Quality

1 Forum.

2           The measures we selected represent three different  
3 types of imaging: MRI, CT, and cardiac stress tests. We  
4 included all ambulatory settings in our analysis -- OPDs,  
5 physicians' offices, and independent diagnostic testing  
6 facilities, or IDTs -- whereas CMS applies its measure only  
7 to OPDs.

8           We examined geographic variation in these measures  
9 using CBSAs, as John described earlier. Before presenting  
10 our results, I'll go over some background on each of the  
11 measures that we analyzed.

12           So the first measure is MRI for low back pain  
13 without evidence of prior conservative treatment. Several  
14 specialties recommend against the use of imaging for low  
15 back pain except for certain conditions, such as  
16 neurological deficits or cancer. Inappropriate use of  
17 imaging for low back pain leads to higher spending and may  
18 induce a cascade of additional procedures, such as surgery.

19           CMS' measure calculates the share of patients in  
20 OPDs who received MRI of the lumbar spine for low back pain  
21 without first trying more conservative treatment, which is  
22 defined as physical therapy, chiropractic treatment, or an

1 E&M service.

2           The measure excludes patients with serious  
3 conditions that may warrant immediate use of MRI, such as  
4 cancer, trauma, neurologic impairments, or spine surgery. A  
5 lower score on this measure suggests that a provider is  
6 using MRI for low back pain more appropriately.

7           The second measure we looked at was CT scans of  
8 the chest that were combination scans. In a combination  
9 scan, a patient receives one scan without contrast, followed  
10 by a second scan that uses contrast.

11           According to clinical guidelines, combination CT  
12 scans of the chest are not appropriate for most conditions,  
13 and they may be appropriate for only one condition:  
14 solitary pulmonary nodule.

15           Combination scans increase spending because they  
16 are paid higher rates than single scans, and they also  
17 expose patients to additional radiation and the risk of  
18 contrast agents.

19           CMS' measure is the share of all CT scans of the  
20 chest performed in OPDs that were combination scans. A  
21 lower score suggests that a provider is using CT scans of  
22 the chest more appropriately; whereas, a higher score may

1 indicate that the provider has a protocol that calls for  
2 routinely giving patients combination scans of the chest  
3 when they only need a single scan.

4           And the third measure we looked at was patients  
5 who got cardiac imaging stress tests before low-risk, non-  
6 cardiac outpatient surgery. Clinical guidelines recommend  
7 against using cardiac stress tests in the preoperative  
8 evaluation of patients before they have low-risk procedures  
9 because these procedures put very little stress on the  
10 heart.

11           Inappropriate use of cardiac stress tests leads to  
12 higher spending. And in the case of cardiac nuclear tests,  
13 it also leads to unnecessary radiation exposure

14           CMS' measure is the share of all cardiac stress  
15 tests in OPDs that were received by patients during the 30  
16 days prior to a low-risk, non-cardiac outpatient surgery. A  
17 lower score on this measure suggests that a hospital is  
18 using cardiac stress tests more appropriately.

19           So here we have the national rates for these  
20 measures from our analysis across all settings for 2010  
21 through 2012. And, again, a lower rate indicates that the  
22 service is being used more appropriately, and a higher rate

1 indicates that the service is being used less appropriately.

2           The rate for MRI for low back pain without prior  
3 conservative treatment was 36 percent across all three  
4 years. This means that nationally 36 percent of MRIs for  
5 low back pain were not preceded by conservative treatment,  
6 like physical therapy.

7           The rate of CT scans of the chest that were  
8 combination scans declined from 5.1 percent in 2010 to 3.6  
9 percent in 2012, which means that, overall, providers have  
10 improved their performance on this measure.

11           The rate of cardiac stress tests that were  
12 provided before low-risk outpatient surgery was stable at 5  
13 percent in each of the three years. And it is important to  
14 mention that there are inappropriate uses of cardiac stress  
15 imaging other than the one mentioned here. For example, the  
16 American College of Cardiology recommends against performing  
17 annual cardiac stress imaging as part of routine follow-up  
18 in asymptomatic patients.

19           The advantage of the specific measure of that  
20 we've shown here is that it can be calculated with claims  
21 data. Other studies that have used medical records and look  
22 at additional indications find higher rates of inappropriate

1 use of cardiac imaging, in the range of 13 to 24 percent.

2 This slide shows the rate for reach measure by  
3 setting in 2012. The rate for MRI for low back pain was  
4 higher in OPDs than in offices or IDTFs, meaning that these  
5 tests were more likely to be inappropriate when provided in  
6 OPDs.

7 By contrast, the rate for CT scans of the chest  
8 that were combination scans was higher in IDTFs and  
9 physicians' offices than in OPDs. The rate for the cardiac  
10 imaging measure was similar across settings.

11 It is important to remember that the settings are  
12 based on where the imaging study was provided. The ordering  
13 physician may practice in a different setting than the one  
14 being measured and probably bears at least some  
15 responsibility for the appropriate use of imaging.

16 This slide shows the geographic variation in the  
17 rates of these measures in 2012. For MRI for low back pain,  
18 the CBSA at the 5th percentile had a rate of 29.1 percent,  
19 and the area at the 95th percentile had a rate of 44.6  
20 percent. This means that in a high-performing area, 29  
21 percent of the MRIs for low back pain were provided to  
22 patients who did not receive more conservative treatment

1 first. The rate for the first quartile was about 33 percent  
2 compared with about 39 percent in the third quartile.

3 Next, looking at CT scans of the chest that were  
4 combination scans, we find significant variation. The CBSA  
5 at the 5th percentile had a rate of 0.4 percent compared  
6 with a rate of 10.7 percent at the 95th percentile. The  
7 rate in the third quartile was over four times as high as  
8 the rate in the first. CBSAs with much higher rates  
9 probably have providers who routinely give patients  
10 combination scans when they only need a single scan.

11 There was also variation in the rate of cardiac  
12 imaging before low-risk outpatient surgery, which is the  
13 last column. The CBSA at the 5th percentile had a rate of  
14 3.6 percent compared with a rate of 6.3 percent at the 95th  
15 percentile. The rate for the first quartile was 4.3 percent  
16 compared with 5.3 percent in the third quartile.

17 Collectively, the variation in the rates of these  
18 measures suggest that there are opportunities for providers  
19 to use these imaging services more appropriately, which  
20 would reduce unnecessary spending and potentially reduce  
21 radiation exposure.

22 Now I'll move on to Kevin.

1 DR. HAYES: Inappropriate use of services can take  
2 two forms. First, a service can be furnished to too many  
3 patients. Second, too many services can be furnished to the  
4 same patient.

5 While most research on inappropriate use has  
6 focused on the first category, two studies for the  
7 Commission are in the second category: repeats of  
8 diagnostic tests furnished to Medicare beneficiaries.

9 Both studies were led by a physician. The results  
10 were published in the Annals of Internal Medicine and the  
11 Archives of Internal Medicine -- now JAMA Internal Medicine.  
12 Commentaries accompanying the articles expressed the view  
13 that the repeat testing found represented "unjustified  
14 testing" or "overuse."

15 The first study considered repeat use of certain  
16 imaging services, tests, and diagnostic procedures that I  
17 will list in just a moment. The second study focused on  
18 repeat upper endoscopy: its frequency, the diagnoses  
19 reported on Medicare claims with the procedure, and whether  
20 those diagnoses suggested that a repeat endoscopy would be  
21 expected, uncertain, or not expected.

22 In the interest of time, I will not go over this

1 second study, but can say more on question. It was  
2 summarized in your mailing materials.

3           Looking at the first study, six services were  
4 considered: echocardiography without a stress test, nuclear  
5 medicine and echocardiography stress tests, chest CT, and  
6 the others you see listed here. All are services for which  
7 uncertainty exists about whether to repeat them and how  
8 often. Medicare claims data for six years -- 2004 through  
9 2009 -- were analyzed to determine rates at which  
10 beneficiaries receive repeats of these tests and the  
11 intervals between an index -- or first observed -- test and  
12 a repeat test.

13           One finding was that repeat testing is common:  
14 depending on the test, one-third to one-half repeated within  
15 three years of an index test. For the physician leading the  
16 study, this finding raises the question of whether some  
17 physicians are routinely repeating tests even though little  
18 is known about appropriate thresholds and intervals for  
19 doing so.

20           The other finding was that geographic variation in  
21 repeat testing suggests decisions to repeat tests are  
22 influenced by factors other than disease burden. To

1 understand this finding, let's look at an example.

2           Here we see data for the 50 largest metropolitan  
3 statistical areas on one of the services in the study:  
4 imaging stress tests. You can see across the bottom we have  
5 the proportion of beneficiaries receiving any of these  
6 tests, whether repeated or not. On the vertical axis, we  
7 have the proportion repeated.

8           The hypothesis was that the proportion of  
9 beneficiaries receiving repeat tests would exhibit little  
10 variation. If physicians have similar thresholds for  
11 diagnostic testing, the proportion receiving any test would  
12 vary in accord with disease burden. Meanwhile, the  
13 proportion repeated would be similar across geographic areas  
14 owing to homogeneity among those receiving at least one  
15 test.

16           As you can see, the findings were not consistent  
17 with this hypothesis. Across these MSAs, the proportion of  
18 beneficiaries receiving repeat tests varied widely,  
19 depending on the test. For example, with this service, on  
20 the vertical axis you can see the proportion receiving  
21 repeats within three years. It ranged from 30 percent,  
22 which is in Portland, Oregon, to over 54 percent in Orlando,

1 Florida.

2           The additional finding was that the proportion  
3 receiving a repeat test was positively correlated with the  
4 proportion who received any test. The correlation  
5 coefficient for the statistical relationship between the  
6 proportion tested and the proportion repeated was fairly  
7 high as these things go. On a scale of 0 to 1, the  
8 correlation was 0.62.

9           We expected to see no correlation between the  
10 proportion of beneficiaries receiving an initial test and  
11 the proportion receiving a repeat test. While the  
12 proportion receiving an initial test might vary somewhat  
13 because the incidence and prevalence of disease varies  
14 geographically, we thought the proportion repeated would  
15 exhibit little variation if physicians have similar  
16 thresholds for deciding whether to conduct a test.

17           If there was any expectation about the  
18 correlation, it was that it would be negative. In an area  
19 with a high rate of initial testing, a high proportion of  
20 beneficiaries who received an initial test would include  
21 many found to have no disease. Therefore, the area would  
22 have a low proportion receiving a repeat test. The finding

1 of a positive relationship suggests that areas prone to do  
2 many initial tests are also prone to do many repeat tests.

3 The example we looked at was imaging stress tests.  
4 Except for one of the diagnostic procedures in the study,  
5 the same pattern -- a positive correlation between  
6 proportion tested and proportion repeated -- was found when  
7 we examined the other services.

8 Stepping back and thinking about the results of  
9 the two repeat testing studies together, they illustrate a  
10 way to study potentially inappropriate use and, say, compare  
11 fee-for-service, ACOs, and Medicare Advantage. The  
12 available indicators include the length of the interval  
13 between the initial test and a repeat test and the frequency  
14 of repeat tests.

15 John will now summarize issues for your  
16 discussion.

17 MR. RICHARDSON: All right. For your discussion,  
18 we are concluding with the following questions you may wish  
19 to consider. These include: the strengths and challenges  
20 of measuring potentially inappropriate use at all; your  
21 thoughts on applying both overuse and underuse measures,  
22 like HEDIS, in all three delivery systems or, as we

1 discussed in November, selecting one or the other type of  
2 inappropriate use measures to target each payment system's  
3 incentives; if it would make sense to apply these kinds of  
4 measures at a population level, a provider level, or both;  
5 and your vision of how over- and underuse measures would fit  
6 into the larger Medicare quality strategy we have been  
7 discussing, which, as a reminder, centers on having fewer  
8 measures focused on population-based outcomes and having a  
9 higher priority placed on synchronizing quality measurement  
10 with the private sector.

11 Thank you very much, and we look forward to your  
12 questions and discussion.

13 MR. HACKBARTH: Okay. Thank you.

14 So when we get to round two, I am really going to  
15 want people to address these questions at the end, so think  
16 about them when you are waiting for your turn to talk.

17 The order in which -- the first one is sort of a  
18 technical question, if you will, about the challenges of  
19 measurement. I'm really interested myself, since I don't  
20 have anything to contribute on the first, the last three  
21 questions, and I sort of think of them, the last ones first.  
22 How do they fit into our overall strategy that we've been

1 talking about? And then second would be, Do we apply these  
2 measures across the board in all payment systems, or do we  
3 try to target them based on the weakness, perceived weakness  
4 of the system? And then third, are we just talking  
5 population level or also provider level? I think those are  
6 three really important questions, and I hope people will try  
7 to take a crack at them.

8 So first, round one, clarifying questions. Kate  
9 and then Craig.

10 DR. BAICKER: So I was a little unsure about the  
11 denominator for the three measures that we were looking at.  
12 So for example, the stress test, the way I understood it  
13 from the reading materials and the presentation was that you  
14 looked at all the stress test and said what share are before  
15 one of these procedures where it wouldn't be required, but  
16 that share could change, because the other tests are  
17 changing. And the concept I thought you were getting at is  
18 it's inappropriate to use this when people have one of these  
19 procedures coming up that doesn't require it, so wouldn't we  
20 want to look at the share of the people having one of those  
21 procedures who get a stress test inappropriately? Because  
22 in some ways, I worry that the measures we are looking at,

1 while the numerator is the thing that we want to look at,  
2 the denominator may not be the right denominator to  
3 perfectly capture what we're trying to get at.

4           If suddenly a hospital or a provider starts doing  
5 a lot of stress tests for other stuff, they would suddenly  
6 look better on this measure, even though it doesn't indicate  
7 less appropriate use. It might indicate more inappropriate  
8 use. I'm saying that not clearly. If that denominator goes  
9 up because they're suddenly giving everyone in the world  
10 stress tests, that's not a good thing, and so is the measure  
11 really capturing overuse, or do we need maybe this and  
12 another measure?

13           MR. WINTER: It's a really good question, really  
14 good point, and in fact, there is another NQF measure, which  
15 is not the one CMS uses, which uses a denominator as the  
16 patients who get a low-risk outpatient surgery, exactly as  
17 you suggested. And we can try to take a look at that and  
18 see if we can apply that with claims data.

19           The reason that we used the measure that CMS has  
20 been using was as an initial step, it made sense to pick  
21 ones that have sort of been tested and -- or we have data  
22 that we can validate our results against, but for the

1 future, we can certainly think about using the type of a  
2 denominator that you suggested.

3 DR. BAICKER: And an alternative denominator could  
4 be -- you could just look at the number of stress tests per  
5 capita if you're not able to capture situations in which a  
6 stress test may or may not be appropriate. You could say,  
7 "Are they just using a lot of stress tests, and that's why  
8 this share looks kind of low, or is it" --

9 MR. WINTER: And that can obviously change,  
10 depending on the unit of measurement. Is it a CBSA? Is it  
11 a hospital? Is it a physician practice?

12 Another thing to think about in terms of the  
13 alternative measure where the denominator is the low-risk  
14 outpatient surgery is if you're trying to attribute it to a  
15 setting, then it can get sort of complicated. Should it be  
16 the setting where the surgery happened or the imaging study  
17 happened? And the way CMS has done it is attribute it based  
18 on where the imaging study occurred.

19 DR. COOMBS: Because if you do it per capita, the  
20 problem with that is you have a high-volume, low-risk  
21 surgery, that's going to change from geographic area to  
22 geographic area. So you make the mistake if you do it per

1    capita, not considering the frequency of low-risk surgery  
2    that occurs in that given area. So that if someone has an  
3    area that has lots of low-risk surgery and the screening may  
4    be less threshold to get the stress test preoperatively,  
5    then they may actually do better in some areas than others.

6           MR. HACKBARTH: Was that your point, Jon?

7           DR. CHRISTIANSON: It was on geographic areas --  
8    [off microphone].

9           MR. HACKBARTH: But it was on Kate's measurement  
10   point. I will get you that.

11           I have George, Rita, Craig, Jon. Who do I have on  
12   this side with clarifying questions? Anybody?

13           Okay. George, Rita, Craig, Jon.

14           MR. GEORGE MILLER: Yeah. As you were doing this  
15   analysis, did you look at also access, if appropriate folks  
16   were getting access to these services? Is that one of the  
17   measures as we were looking at this? Is access an issue at  
18   all?

19           And secondarily -- second -- I'm sorry -- do we  
20   look at the demographic information for each one of these?  
21   Especially in the overuse category, were you able to break  
22   down demographically to see if one part of the population

1 may be getting overuse disproportionate to as it relates to  
2 the other?

3 MR. WINTER: All right. So in terms of access, we  
4 did not explicitly address that with regards to the analysis  
5 that I presented. I'll let Kevin talk about the analysis he  
6 worked on.

7 We do use -- in terms of examining quality in the  
8 physician sector for the update analysis, we do look at  
9 measures of underuse, which we called the MACIs, and that is  
10 part of what factors into our analysis of access and  
11 quality, but for this specifically, we did not address that.  
12 And I'd be interested if you have specific ideas for how we  
13 should apply these to access questions. That would be  
14 helpful.

15 And then the second question on demographics, we  
16 did not look at demographic characteristics of the patients  
17 who were caught up in these measures, but it's certainly an  
18 interesting analysis for the future.

19 The one thing I will say is that there was a paper  
20 published by my colleagues in 2009 which looked at overuse  
21 of imaging for low back pain based on an NCQA measure, which  
22 is not very different from CMS's measure, and they found

1 that African Americans are actually less likely to get MRI  
2 when it was not recommended. In other words, they got MRI  
3 more appropriately than other racial categories, so that's  
4 interesting. I'll just throw that out there.

5 MR. GEORGE MILLER: Yeah, yeah.

6 MR. WINTER: If Kevin has anything to add, I'll  
7 turn it over to him.

8 MR. GEORGE MILLER: Okay.

9 DR. HAYES: Just that when the Commission has  
10 defined access, we have defined it in terms of use of  
11 appropriate services, and so what we're looking at with  
12 respect to this set of analyses would be that part of the  
13 definition where, well, is the service appropriate or not in  
14 trying to develop a kind of an information base and set of  
15 capabilities that would allow us to do this.

16 Specific to the repeat testing work, we did not  
17 look at the demographic characteristics. It's something we  
18 could do, but here, it was more of an exploratory study to  
19 just see what we could find.

20 MR. GEORGE MILLER: Well, obviously -- well, I  
21 shouldn't say obviously, but part of my question is if tests  
22 are being done and they're appropriate, is a set of the

1 population getting access to have those tests done that are  
2 appropriate? And then conversely, if they're inappropriate,  
3 demographically, are we measuring and making sure that it's  
4 consistent across to the population?

5 DR. REDBERG: Thank you. Excellent presentation.

6 My question, I guess on Slide 13, where you  
7 separated according to the site of the imaging, were you  
8 able to look at who ordered the test or issues of self-  
9 referral?

10 MR. WINTER: We did not -- we did not look at it  
11 by ordering physician, and one of the issues there would be  
12 you could get down into small numbers pretty easily, and  
13 then it's hard to look at statistical validity.

14 But we could think about trying to do this at a  
15 practice level, maybe larger practices, and try to compare  
16 the rates of appropriate use of imaging at that level, but  
17 for this initial analysis, we did not look at it by ordering  
18 physician.

19 In terms of self-referral, that gets more  
20 complicated, because then you have to come up with a  
21 definition of self-referral, because it's not always listed  
22 on the claim, and what if a physician refers to someone else

1 in their practice? Is that considered self-referral if  
2 they're eventually benefitting financially from that?

3 So we've looked at this in the past, and we have  
4 developed some work and some models and some definitions,  
5 and we can think about applying it to this, because it's  
6 certainly an important issue to think about, but that would  
7 be for future work.

8 DR. REDBERG: And where are they publicly  
9 reported? It said Slide 17 as publicly reports these.

10 MR. RICHARDSON: It's on Hospital, Hospital  
11 Compare.

12 DR. REDBERG: Hospital Compare-dot-gov. That's  
13 what I was trying to find. Thank you.

14 DR. CHRISTIANSON: I'd like to go back to Slide 5,  
15 and this is just to make sure that I understand what the  
16 idea is.

17 So with the ideal I'm trying to imagine in my  
18 mind, there's a map, and we've drawn a circle or something,  
19 and then within -- and you raise the appropriate issue, how  
20 do we draw the circle, how do we get -- so within that  
21 circle, are we talking about -- okay. Let's say there's two  
22 MA plans and three ACOs, so we would construct the quality

1 measure individually for each ACO and for each MA plan and  
2 for netting out the people that are attributed to ACOs and  
3 the people that are enrolled in MA plans? The rest are in  
4 the fee-for-service system, and then we would construct a  
5 measure which would be our fee-for-service quality measure  
6 in that area?

7 MR. RICHARDSON: Right. So you -- to your first  
8 question, this is envisioning Medicare Advantage as a sector  
9 or a delivery --

10 DR. CHRISTIANSON: It's not divided into the plan?

11 MR. RICHARDSON: Not divided in the plan

12 I think Craig brought this up in November, which  
13 is that you definitely could -- first of all, Part C or CMS  
14 already does that for individual MA plans.

15 DR. CHRISTIANSON: And they are a population that  
16 you can --

17 MR. RICHARDSON: Exactly.

18 DR. CHRISTIANSON: -- use as a popular measure.

19 MR. RICHARDSON: I think, especially for MA where  
20 the population -- you know, you're enrolled in a plan or  
21 that plan -- and specifically, it's easy to say you're  
22 either in MA or you're in that MA plan. That's easy to --

1 DR. CHRISTIANSON: Wouldn't the same be for ACOs,  
2 that are attributed in ACOs, where there's an organization  
3 that has to think in a population --

4 MR. RICHARDSON: Yes. Again, you could do it.  
5 The question would be if in a particular geographic area,  
6 you had enough in there, you had enough observations, but  
7 assuming you did, you could go as far down as you wanted to,  
8 even within -- anyway, yes, within the organizations.

9 DR. CHRISTIANSON: So the idea would be -- I'm  
10 just trying to review what we talked about last time and get  
11 it straight in my mind. So the idea would be let's say a  
12 beneficiary in fee-for-service, not in an ACO or attributed  
13 ACO or an MA plan, would look at their number and say,  
14 "Well, if I assume I'm an average fee-for-service  
15 beneficiary, I would be better or worse off had I been  
16 attributed for" -- and then this quality measure -- "had I  
17 been in a particular ACO or a particular MA plan."

18 MR. RICHARDSON: Right.

19 DR. CHRISTIANSON: I mean, that would --

20 MR. RICHARDSON: I mean, if the --

21 DR. CHRISTIANSON: Is that what the --

22 MR. RICHARDSON: If the purpose of the

1 measurement, which is a question, was to give the  
2 beneficiaries information about where to go, it would be, I  
3 think, more helpful if he knew a specific MA plan.

4 DR. CHRISTIANSON: So we're probably going the  
5 second route, so I think I understand what the -- the way  
6 you're thinking about it.

7 MR. RICHARDSON: You could do it either way.

8 DR. CHRISTIANSON: Okay.

9 MR. HACKBARTH: Okay. Let's go to round two, and  
10 Craig is going to go first.

11 DR. SAMITT: Great presentation. Thank you.

12 I'll go question by question in terms of the  
13 discussion. I certainly have strong feelings about each of  
14 these.

15 This is certainly something that -- I'm going to  
16 actually do the last question first. I think this  
17 absolutely fits within our quality strategy. Overuse,  
18 underuse must be a part of that.

19 The concern that I have about the measurement of  
20 inappropriate use is are we going to now experience a  
21 similar phenomenon as we've experienced in the quality  
22 dimension, which is we keep adding more and more measures,

1 and the same could apply to inappropriate use. And what I  
2 would be curious to understand is whether or not instead of  
3 thinking of these things vertically, we could think about  
4 them horizontally. How do we measure whether organizations  
5 are using decision support or technological criteria to  
6 determine appropriate or inappropriate use?

7           In the private sector, in essence, we use  
8 administrative approvals or review of clinical decision-  
9 making, and I think what we want to know is what percentage  
10 of providers are overruling sort of the guidelines in making  
11 these decisions about appropriate or inappropriate use, and  
12 the measurement is really -- cuts across just about any  
13 testing or any diagnosis you want.

14           I think that it's a strategy that raises all  
15 boats, which speaks to the strengths and weaknesses of this.  
16 The strengths of having this discussion is that there's  
17 clearly unexplained variation that there is a possibility to  
18 capture here. The weakness is it becomes very  
19 administratively complex if we think test by test or  
20 diagnosis by diagnosis. So there's got to be a different  
21 way to think about this.

22           In terms of overuse and underuse by payment

1 sector, what's interesting is I think you'd want to measure  
2 overuse on the fee-for-service side, and you'd want to  
3 measure underuse on the MA side, and you probably want to  
4 measure both for ACOs. So I think that that requires  
5 additional thought, and I'm not sure I have more guidance on  
6 that, but I don't know how we would come up with a common  
7 set of metrics or whether that's appropriate across all the  
8 sectors, because we're worried about different things.

9           And then in terms of how to measure a population  
10 level or provider, I would pick provider, because I think  
11 you want to measure it at the level of those you can hold  
12 accountable, and that's the provider sector from my point of  
13 view. So those would be my thoughts.

14           The question that I have that I'd love more  
15 information on is Slide 14, and it falls to a lot of the  
16 discussions we have about benchmarking. For these first  
17 quartile sectors, I'm curious to know who those people are  
18 and what do they have in common. I don't know if we can get  
19 at that additional research, but I would sort of want to  
20 know who those gold standard providers are, if we envision  
21 that they are gold standards. They may be underutilizing,  
22 but their quality outcomes may not be good. So I think we'd

1 want to study it, but I would wager that what we may find is  
2 that first quartile is both efficient and high quality, and  
3 I think we should understand what they have in common.

4 MR. WINTER: Craig, could I ask a question about  
5 that, clarifying question? Well, when you talk about gold  
6 standard providers, we're looking here at CBS at areas, but  
7 you're saying we should drill down to the provider level and  
8 identify the specific providers in those areas or just do a  
9 different distribution at the provider level.

10 DR. SAMITT: Yeah. Those areas, are they -- what  
11 do they have in common in terms of payment models or  
12 provider structures or integrated delivery systems or at  
13 certain geographies? I'd just be curious to know more  
14 information about the demographics of that quartile.

15 MR. HACKBARTH: Craig, could you go back to your  
16 first point for a second, the horizontal versus vertical?  
17 Just say that again. I'm not sure I got that one.

18 DR. SAMITT: So for example, in my current and  
19 prior organizations, we use decision support, technological  
20 decision support to study high-end radiology. So in  
21 essence, the methodology is very much that at the point of  
22 care, as a provider is selecting a particular test, in

1 essence they're notified that 99 percent of your peers would  
2 not choose this test for this diagnosis. It's not  
3 guideline-supported. There's the opportunity to overrule,  
4 but -- and you can measure the degree of overruling that,  
5 but that applies to more diagnoses than just these three.  
6 It could be a whole portfolio.

7           So the question is, Do we want to measure each of  
8 these in isolation? Is that the most efficient way to do  
9 this, or should we use an approach that crosscuts whether  
10 providers are following guidelines in essence or not for any  
11 test?

12           MR. HACKBARTH: So in the pending SGR legislation,  
13 if I understand it correctly, there is a provision on  
14 imaging specifically that is similar to what Craig is  
15 talking about, and help me out, if you know what I'm talking  
16 about. My recollection was you'd have to say I consulted a  
17 particular decision support system and in order to quality  
18 for payment.

19           MR. WINTER: Right. The rendering provider, the  
20 one who actually performs the imaging study, has to indicate  
21 whether guidelines were consulted, certain type of  
22 guidelines were consulted when the study was ordered. So

1 there's these questions about coordination between the  
2 rendering -- performing provider and the ordering provider.  
3 If they don't indicate that guidelines were consulted, then  
4 there is some payment reduction, I believe. And then for  
5 providers who are outliers in terms of not consulting  
6 guidelines, then there is a provision for some type of prior  
7 authorization for extreme outliers.

8 MR. HACKBARTH: So in order to make your  
9 horizontal system work, you have to specify the sort of  
10 decision support that must be consulted and then measure  
11 adherence to those?

12 DR. SAMITT: Yeah.

13 MR. HACKBARTH: I'm just trying to think  
14 mechanically what it would be like.

15 DR. SAMITT: Well, I mean, another way to put it  
16 is, is that at the very beginning of the presentation, you  
17 talked about quality measures. I'll be the first to step in  
18 line to say yes, we want outcomes measures, but in certain  
19 instances, we want process measures. For me, use of  
20 decision support and a demonstrable evidence of adherence to  
21 guidelines is a process measure that should be a quality  
22 measure.

1           The other one, by the way, I'd put in this budget  
2 is demonstrable use of decision support for beneficiaries.  
3 That is another process measure that if we can find a way to  
4 measure that, you would expect that that would raise  
5 multiple quality outcomes.

6           DR. CHERNEW: So this whole topic is I think  
7 amongst the most important one that we'll address, because  
8 as the system changes, we're constantly hamstrung with this  
9 notion of how to measure quality. And I wish -- I really  
10 appreciate the work, and I wish I knew more what -- how to  
11 go forward.

12           My general sense in response to the questions are  
13 a few things. First of all is I like the idea of a common  
14 measurement for -- I'll do the bottom three. I like the  
15 idea of a common measurement frame, because you want to  
16 compare between, and so the fact that we're concerned about  
17 different things is true, but it doesn't mean we shouldn't  
18 measure different things. We would just expect to see that  
19 they're going to behave differently to sort of confirm that  
20 hypothesis.

21           The bigger issue I have, just generally, of  
22 course, is the administrative burden of all of these

1 different things and all of these different ways, and I  
2 think that's problematic. So I find myself saying things  
3 that I now worry about. For example, I believe that the  
4 provider level is the right level to measure, because that's  
5 the people who can act, but I worry about the administrative  
6 burden placed on all the providers, and that's a problem.

7 I think in general, what we have to do is we have  
8 to begin to refine the set of measures and think about the  
9 administrative costs of them when we put them in place.

10 I know we've had a discussion of having fewer  
11 measures, and that's certainly appealing, given my concern  
12 about administrative burden. Some of them might be even  
13 measurable through claims data and not put a lot of burden  
14 on the providers that we care about, which I view as great.

15 I worry a lot that if you go down that path,  
16 people are going to say, "Your measures are too coarse to  
17 pick up the nuances of quality that we care about," and when  
18 we were having our IRF-SNF discussion, for example, we got  
19 into exactly that problem, which is, well, exactly, but for  
20 this diagnosis and in this way, what you're missing is 3  
21 months down the road, there's something that -- or 6 months  
22 down the road, there's some aspect of quality we can't

1 measure. So we're just torn, and because I feel like I'm  
2 being so unconstructive, I'm going to move on, because it's  
3 just hard. I wish I had an answer, and getting that balance  
4 right is a challenge.

5           The one thing I do want to say that I do think is  
6 important is about the overuse and underuse measures. I  
7 think they absolutely are both important, but I do want to  
8 make one important point that I consider important, which is  
9 waste is different than poor quality. So I don't like  
10 waste. I don't want to be in favor of advocating waste, but  
11 it's not the same issue as poor quality.

12           In certain payment models, for example, we might  
13 not care about waste because someone else is paying for it,  
14 and so it's not as big a concern, but we certainly care  
15 about bad quality. I think the measures that you have  
16 picked here are mostly problematic because they are bad  
17 quality because of exposure, et cetera. We will have a  
18 different concern and a different way of dealing with things  
19 that I think are just waste, and so that -- and I think that  
20 matters.

21           That said, to the extent that a lot of overuse is  
22 in fact bad quality, I think it's important that we

1 incorporate them at least conceptually into our quality  
2 measures, and I wouldn't have -- I actually wouldn't even  
3 make distinctions about whether it's an overuse or underuse  
4 measure. Do we need some sort of quota of a certain number?  
5 We want to find the things that are either going on or not  
6 going on that are leading to the largest decrements and  
7 outcomes and measure those things, provided we can do so in  
8 an even loosely feasible or administratively acceptable way,  
9 so that's my --

10 MR. ARMSTRONG: So let me just start by affirming,  
11 too, I think this is a very important topic for us to be  
12 looking at, and the work you guys are doing reflects  
13 conversations we've had in the past and I think is heading  
14 us in the right direction.

15 Following Craig's pattern here, the last three  
16 questions, let me just go in reverse order and affirm that I  
17 do think that the way you've described this work to date is  
18 supporting the direction that we've been talking about  
19 taking our quality monitoring and advancing quality kind of  
20 agenda.

21 I won't really comment on this balance between  
22 complexity and more metrics. I frankly think the issues of

1 complexity are overstated, and that the improvements to  
2 quality -- and frankly to affordability that are still  
3 possible for us overwhelm this additional complexity burden,  
4 but I'm sure we'll talk much more about that.

5           Just to amplify a point I just made, this is not  
6 only advancing a quality strategy. This is the path to a  
7 lot of the affordability work that we've been talking about  
8 too. When we look at some of the potentially preventable  
9 visits in emergency rooms and hospitals and across the  
10 board, the billions of dollars we spend that could be  
11 avoided by measuring these kinds of things are pretty  
12 remarkable. And so I would just say it's not limited to  
13 advancing our quality strategy. It's also about  
14 affordability.

15           I think overuse and underuse measures should be  
16 applied at both the provider and the population level, and I  
17 agree that -- and I would take the position that both should  
18 be applied to all three payment systems. And the reason why  
19 I would say that, I understand intuitively this notion that  
20 fee-for-service, you worry about overuse and MA maybe  
21 underuse. My own personal experience is working for an  
22 organization that is well known for really excellent

1 population health outcomes and quality and so forth.

2           We've been stunned to discover -- and by the way,  
3 we also have providers who have the same clinical guidelines  
4 and go through the same hurdles before ordering and  
5 transparently, comparatively report by provider, their  
6 practice patterns and so forth. And still, with all those  
7 incentives and tools and so forth, we've seen very  
8 comparable to the community around us an increase in  
9 radiology testing, and we've seen spectacular variation from  
10 Tacoma to Bellevue to Spokane in our own medical practices.

11           And so we need to be monitoring overuse, even in a  
12 system with the kind of incentives, because there's a lot to  
13 learn, and these are really actionable kinds of measures,  
14 even in a system like that. That's it.

15           MR. KUHN: So one thing on Slide 13, I just want  
16 to kind of come to that for a second before I talk about the  
17 specific questions. This is very similar when the same  
18 Table 2 that was on page 18 in the rated material, except  
19 there was an additional line on the top with the  
20 percentages. But what was interesting to me about this  
21 table and when I read the material and then when you showed  
22 it here is if there's also a way we can collect that data by

1 fee-for-service, ACO, and MA, if that's doable. It would be  
2 interesting to see how those array that way, so just to  
3 request there.

4           So having said that, when I look at these  
5 questions here, I'll start with the last one first, like  
6 others have done, and when I look at that and when I think  
7 about it, I think about the National Quality Forum-Measure  
8 Applications Partnership, or the MAP that they have, where  
9 they are putting together what they call "families of  
10 measures." And when I try to think about the families of  
11 measures -- and I don't know their total definition. I'm  
12 not that familiar with the work. I know what they're doing.  
13 I think that these kind of issues in terms of underuse and  
14 overuse fit in those families of measures.

15           And what takes me back to that is a piece Rita had  
16 in the New York Times about a month ago where she talked  
17 about harm as a result of radiation and too much imaging as  
18 part of the process, and so I think it does fit very well  
19 into the quality space. It's absolutely there. So when I  
20 think about these families, yes, that works for me.

21           In terms of the issue of the underuse, overuse,  
22 whether population at provider level, I agree with Scott,

1 both. I think it makes sense as part of that process.

2           And then finally, when you talk about targeting  
3 each system, I think about it this way, that it needs to fit  
4 the purpose, and I think Scott's conversation here at the  
5 end about where they are as a very sophisticated system, it  
6 still fits the purpose to kind of move in that direction, so  
7 I think it goes across all. I think it makes sense to me.

8           And then kind of a final appeal that's not part of  
9 our conversation today, but I'll put it out there anyway, is  
10 I think what we continue to see in this whole area of  
11 measure development is continue kind of where we are in the  
12 development process, and I wish -- I think all of us wish we  
13 were much further along in terms of measure development,  
14 risk adjustment, benchmarking, attribution methodologies,  
15 all those kinds of things. And I don't know if it fits into  
16 this chapter as a conversation, but an appeal for more  
17 funding and more resources developed to the development  
18 process to keep us moving forward I think makes sense too.

19           DR. COOMBS: So I'll go backwards, too, from the  
20 fourth bullet. I'm pretty much in support of that. And  
21 then starting with number one, I was thinking of other  
22 things that could result in variations within the next --

1 the second bullet. And one of the things I thought about --  
2 and Craig actually alluded to decisionmaking. I think it's  
3 really huge for all -- for quality, for utilization, for  
4 inappropriate, for errors. And I think the decisionmakers,  
5 if you're going to decide to actually use this at the  
6 provider level, then decisionmaking tools dovetail with  
7 that.

8           If you said you were going to look at it  
9 population level, I think decision tools are very important  
10 there, too. But when you get to the granularity of a  
11 provider, I think it's really a big deal.

12           And so we actually looked at reliability studies  
13 with RAND, looking at variation in quality a few years ago,  
14 and it's in the New England Journal of Medicine, and what we  
15 found was the variability in reliability was so vast that we  
16 couldn't take away points in terms of being able to be  
17 tiered according to the quality you delivered. And that was  
18 at the individual level.

19           So in terms of providers, if you have large  
20 groups, then I think it makes it a lot easier. But because  
21 of the heterogeneity of provider groups, even when you talk  
22 about physician providers groups versus, you know, hospital

1 provider groups, I think it makes a big difference. So  
2 that's huge.

3 In terms of the second bullet, I agree with Scott.  
4 The overuse and underuse measures in all three payment  
5 systems will be necessary in that there are subgroups in all  
6 three that may vary in terms of their results. And George  
7 alluded to something about, you know, minority patients  
8 within fee-for-service versus managed -- Medicare Advantage,  
9 and even in Medicare Advantage, there's studies that come  
10 out that show that there's inadequate screening and  
11 prevention tools even in a robust system such as an MA plan.

12 I do think it makes a difference whether you're at  
13 a high-performing health care delivery system, as you have,  
14 versus a system that actually has great challenges when it  
15 comes to the things that you can't tease out. And if you  
16 look at both systems in terms of -- all three systems in  
17 terms of overuse and underuse, you may be able to discover  
18 trends where there's a large group that is disadvantaged in  
19 some respect, and it doesn't necessarily have to be -- it  
20 could be a regional geographic area that actually has, you  
21 know, a group of patients, for whatever reason, that have  
22 access issues that relate to a number of things.

1           But I wanted to bring up the whole notion of  
2 defensive medicine and geographic variations. I think there  
3 are some situations, when I look at the preoperative stress  
4 test, which, you know, anesthesiologists are constantly  
5 saying send them to the cardiologist, to the medical doc,  
6 and get them cleared. And so a clearance mechanism might be  
7 for a cardiologist over the phone, prior to seeing the  
8 patient, to get a stress test and say, okay, the stress  
9 test, have the patient come to my office. And it is done  
10 kind of backwards. But in reality, that's what happens in  
11 the community many times because of the convenience of  
12 having that patient on the OR schedule.

13           So I think the number of lower surgeries, how the  
14 screening process, whether you have decisionmaking tools in  
15 terms of working up patients who really don't have  
16 necessarily cardiac problems but that stress test is done as  
17 a screening maneuver to enhance the physician's ability to  
18 say this patient is cleared for this low-risk surgery, and  
19 not knowing the AHA ACC guidelines, which are clear about  
20 low-risk surgery in terms of risk stratification.

21           MR. HACKBARTH: Cori, let me just interject a  
22 couple points and give people an opportunity to react to

1 them as we go around.

2           The first has to do with what MedPAC's role is in  
3 measuring quality or talking about measuring quality. We  
4 don't have the expertise around this table nor the  
5 appropriate processes to be a direct participant and here's  
6 what the measures should be. That needs to be worked on by  
7 other entities, whether it's NQF or specialty societies,  
8 somebody that is much better suited to that task. So I've  
9 thought that to the extent that we have a role and as it's  
10 much more high-level sort of strategic, you know, what  
11 direction should Medicare be headed?

12           Which brings me to my second point. We have said,  
13 based on discussions around this table, that we think that  
14 the measurement effort is getting too cluttered with too  
15 many measures, many of which have low value and impose a  
16 significant and growing burden on providers. And so we've  
17 said in various contexts we think that Medicare needs to be  
18 cognizant of that, pull back, fewer measures, less burden,  
19 more focused on things that patients care about, including,  
20 you know, ultimate outcomes of care or at least intermediate  
21 outcomes of care.

22           Yet, you know, whenever we start talking about it,

1 we very quickly, you know, get into, well, more measures as  
2 opposed to fewer. I think we're all feeling torn like Mike  
3 said he is about this. And I know I'm feeling torn that  
4 way. I participate in this as much as anybody.

5           So if you could, you know, react to that. You  
6 know, if, in fact, we think strategically fewer is better,  
7 how can we advance beyond that rather bland, not very useful  
8 statement to make it more concrete to the people who are  
9 actually doing the measurement process.

10           So Cori has the answer.

11           MS. UCCELLO: I don't know.

12           [Laughter.]

13           MS. UCCELLO: So I'll start with the fourth  
14 question and try to weave in some of that. But do  
15 overuse/underuse measures fit into potential quality  
16 strategy? Yes. I mean, they are -- these measures do seem  
17 to be tied with outcomes. Rita can talk more about that.  
18 And it just seems like a really big deal, and I don't know -  
19 - I think about, well, how can we add these, thinking about  
20 how we go to some of the physician payment stuff, and we  
21 say, well, we want to re-evaluate some of these codes and  
22 maybe there's some way to think about that to re-evaluate

1 the measures that are used and take them off when they don't  
2 seem to be really meaningful. And that's not really  
3 helpful, but it's just in general the way I would think  
4 about it.

5 In terms of applying overuse and underuse across  
6 the systems, I had been someone in the past who said, well,  
7 it makes more sense for fee-for-service to focus on overuse  
8 and MA on underuse. But I've, you know, been persuaded that  
9 it does make sense to apply these across all systems.

10 The mailing material had a statement -- and Scott  
11 has said it, too -- that even in MA plans there's evidence  
12 of overuse. I would wonder -- Dave has mentioned this in  
13 the past. Just because it's an MA plan doesn't mean that  
14 providers aren't paid within that plan on a fee-for-service  
15 basis. So it might be interesting -- I don't think we even  
16 have the data to do this, but to look at the different types  
17 of MA plans and how they pay the providers and whether the  
18 overuse and underuse varied across that. But we may need  
19 some data for that.

20 Population measures versus provider level, I  
21 really like population-level measures, in part because they  
22 can highlight these geographic differences better and kind

1 of shine a light on, you know, how use and practice patterns  
2 may differ and help to kind of get at some of that. And I  
3 think it also -- they also acknowledge that it's not  
4 necessarily one type of provider that's responsible for  
5 this. It can be not just the ordering physician but the  
6 hospital or the imaging center that also bears some  
7 responsibility. And so look at it overall can kind of see  
8 where we need to target and then delve deeper within that  
9 area to see all exactly what's going on.

10           So I think provider-level information is, of  
11 course, useful when it's feasible to get it, but I also do  
12 like the population measures as well.

13           DR. HOADLEY: So before I get into these bullets  
14 and -- I mean, I really did like the analysis, and I think,  
15 you know, we're not talking much about the specifics of the  
16 imaging numbers that we put out. But, I mean, I really am  
17 struck by the idea that, on the one measure in particular,  
18 where we're saying 36 percent is a level of something we  
19 initially labeled as inappropriate is worthy of real note  
20 here. I mean, that does suggest some things, and not that  
21 the others are so small as to be negligible. So I just  
22 would -- you know, we don't want to lose those results in

1 the discussion of the broader principles.

2 But to the broader principles, again, starting at  
3 the bottom bullet, my answer is yes, as I think most of us  
4 have been so far. And to the point of the number of  
5 measures, I actually think there's some interesting ways to  
6 try to think of it, because our concern with trying to get  
7 to fewer measures is burden on providers and are they  
8 valuable to consumers as users. One of the questions is:  
9 Are all the measures -- here we're not necessarily talking  
10 about something where we would require a provider to report  
11 something, but something potentially the program can  
12 calculate out of existing claims data. And I think that's  
13 something to keep in mind. Are there measure that we can  
14 use in the program that don't require a provider, you know,  
15 writing something down and submitting something or a plan,  
16 or whoever, but where they can be calculated, then we don't  
17 have the same burden issue as we do on other measures that  
18 really do require a specific report?

19 And it's the same thing, I think, on use, and  
20 particularly when we think about consumer use. We're not  
21 always developing all these measures -- we shouldn't be  
22 developing all these measures as things that we're

1 necessarily going to put out there. I mean, yes, maybe you  
2 could put them and make them available. I'm not saying keep  
3 them secret. But when you're getting to some kind of a  
4 decision tool, you really want to focus in on a much  
5 smaller, whether it's summary measures or very specific  
6 things that look like they're valuable, but a lot of other  
7 measures are useful either just to have them available for  
8 researchers to look at, but also for the program to look at.  
9 And so even if the sense is that the use of imaging is not a  
10 level at which you're going to pick your hospital or your  
11 health plan or something like that because it's too specific  
12 and too narrow, it doesn't mean the program shouldn't be  
13 looking at it or researchers shouldn't have access to the  
14 information to sort of say do we have a problem overall. So  
15 I that's one of the ways to try to reconcile this desire for  
16 fewer measures with, you know, the fact that we keep trying  
17 to add more things. It's to consider where they're used and  
18 how they're used and where they're collected and how they're  
19 collected.

20           To the point of population versus provider level,  
21 my initial instinct, much like Cori's was, I kind of want to  
22 know what's going on at the population level and, yes, maybe

1 it's a little bit of the researcher in me trying to  
2 understand the geographic variation that we're all  
3 confronting when we look at data. But I'm also very -- find  
4 very compelling the notion that we can use the information  
5 at the provider level to get at, you know, providers,  
6 whether it's organizational providers or individual  
7 providers, that may be the outliers. So I guess my instinct  
8 is sort of look at population first and then drill down,  
9 which was similar to the way Cori put it.

10           And then I very much think that the application of  
11 both kinds of measures in all systems makes sense. Scott  
12 has already talked about, you know, inside the MA plan kind  
13 of world, and I think inside fee-for-service. I mean, we  
14 have a lot of bundled pieces and prospective payment systems  
15 that can reverse the incentives at times. And so, you know,  
16 whether it applies to any particular measure, maybe not.  
17 But over the course of measures, some cases we're going to  
18 look at is there under-provision of care underneath a  
19 prospective payment system in the fee-for-service system?  
20 And so I think it's all those kinds of sort of  
21 countervailing pressures, and if we find that the pattern is  
22 overuse in fee-for-service and underuse in MA and a lot of

1 things, okay, that met some previous expectations, there's  
2 no problem with that.

3 So those are my thoughts.

4 DR. NAYLOR: So a number of years ago, I was  
5 driving, and one of my daughters is in the back seat, and  
6 she is telling her friend what her mom does for a living,  
7 and she said, "She sits on boards." So you had this image  
8 of two-by-fours and I was sitting on them. So I want to  
9 acknowledge one of them is NQF, and to try to place some of  
10 my comments in context. And I don't know where to start,  
11 but I would say that I wrote, Glenn, before you said it,  
12 "Why is MedPAC focusing on this agenda?" And I do think  
13 this is an exceptional report and made me really question  
14 that myself.

15 So one thing I'd start with is at the end as well,  
16 but wonder whether or not if MedPAC's efforts to think about  
17 promoting synchronization across the way that we look at  
18 multiple payment models isn't better achieved by focusing on  
19 the earlier measures that you outlined. So I think Healthy  
20 Days at Home or preventing avoidable index hospitalizations,  
21 emergency room visits, really focusing on the value, one  
22 measure that we didn't have here in your initial list,

1 patient experience, so that equation of the patient  
2 experience and a grand measure of quality, patient days at  
3 home, over total cost of care, which to me seems like an  
4 important parameter.

5           That doesn't mean that inappropriate use is not  
6 exceedingly important, but it's not necessarily, I think,  
7 something that our program will need to pay attention to.  
8 Everybody else will. I mean, health system leaders, if they  
9 are held accountable in the payment programs to this  
10 parsimonious set, I think will need to pay close attention  
11 to inappropriate use.

12           I think there's a big challenge with inappropriate  
13 use in the sense of people thinking about it as over- and  
14 underuse when the same population of people can have  
15 overuse, multiple repeated tests, as you've described, and  
16 underuse. So even people with back pain who don't get the  
17 physical therapy referrals but get multiple imaging tests, I  
18 mean, are an example of a group that may not be getting the  
19 right care.

20           So I think it's a world that needs a lot of work  
21 in terms of its development conceptually, but I think our  
22 goal is to really think about those broad set of measures

1 across programs that encourage others in positions on the  
2 ground level and in C-suites to really look at what is going  
3 on here in order to hold them accountable.

4           The last thing, I think you pointed out very  
5 beautifully how much we are -- and maybe this is something  
6 we can think about -- encouraging reporting of stuff, but  
7 not action on it. And I'm wondering if part of our goal is  
8 not to think about how we encourage CMS to begin to say it's  
9 not just about reporting, it's getting those rates lower  
10 that will be important.

11           So, anyway, some thoughts from the -- [off  
12 microphone] front seat of the car.

13           MR. BUTLER: So I will do my best because this is  
14 a difficult and important topic for sure.

15           I think the problem I'm having with the questions  
16 is that it's almost zeroing in on this over-  
17 /underutilization, which is just a small part of the bigger  
18 question we started with. And I'll address the questions,  
19 but even the chapter is currently called "Next Steps in  
20 Measuring Quality across Medicare's Delivery Systems." That  
21 doesn't -- you know, I don't see an MA plan as a delivery --  
22 I don't know that it would be thought of as a -- so it

1 almost is looking like we're addressing provider-level kinds  
2 of things and not looking at -- the title itself, you know,  
3 isn't probably exactly what we're talking about.

4           But I don't know if we've framed or been as  
5 explicit, at least in this presentation, that we're trying  
6 to address -- I think as you started many months ago, are we  
7 favoring outcomes versus process, for example? Are we  
8 favoring focusing on fee-for-service versus MA? And I agree  
9 with David, ACO is more of on the fee-for-service. Are we  
10 looking for fewer or more? Are we looking for provider-  
11 based or population-based? Are we looking for applying it  
12 directly through economics, or are we also looking at  
13 reporting in some fashion?

14           And then even within economics, are we looking for  
15 penalties, incentives on the provider side, or how about the  
16 beneficiary side?

17           So I'm trying to frame it a little bit more of  
18 those kinds of tradeoffs and coming out with principles that  
19 would help then -- you know, we could probably decide on  
20 those tradeoffs because I think we've have opinions around  
21 that, and it would guide my thinking better.

22           So now I get into the specific questions here,

1 overuse and underuse in all three systems. I actually am  
2 more in favor of the yield being on the overuse. we're all  
3 concerned about underuse, but I don't think that we're going  
4 to make as much contribution there. And I would focus more  
5 on the fee-for-service system, on narrowing, rather than --  
6 not the MA side.

7           With respect to the population or provider level,  
8 I like the population level, but I'm fearful there are so  
9 many, you know, preventable ER visits and preventable -- can  
10 be quickly tied up into socioeconomic status and adjusting  
11 for that, so I'm a little worried about how that is going to  
12 get applied in a payment system, even though that's the  
13 right focus.

14           In fact, I'm not sure exactly what we mean when we  
15 say people level versus provider level. I understand the  
16 differences in the measures, but I'm not sure exactly how  
17 some of this gets applied, and I think some of us obviously  
18 have expressed some confusion over how this might be done.

19           But I would now get to the economics and go back  
20 to Mike's comment. I think if it's harmful, you penalize  
21 directly the provider side that should know better and can  
22 know better. If it's just excessive utilization like, "I

1 want an MRI because I've got a back pain," I would penalize  
2 or make the beneficiary pay if they really want it. So I  
3 think where you apply the economics and trying to get the  
4 reduction matters.

5 So those are my recommendations on the questions.

6 DR. HALL: So I have been puzzling over Mike's  
7 comment about waste isn't the same thing as poor quality,  
8 and I guess I should have known that already, but I've been  
9 thinking about it a lot.

10 A lot of the things that we're talking about here  
11 actually can do quite a bit of harm. We talked about double  
12 imaging. There's now triple imaging, which will give you  
13 more radiation than you can even imagine in one setting. So  
14 there is some harm being done. It's not just waste. It's  
15 true harm. And this is a problem that has been in medical  
16 care probably since day one. Hippocrates probably said  
17 something about it. I don't know what he said, actually.

18 [Laughter.]

19 DR. HALL: It's been a long time since he and I  
20 talked.

21 So just one quick story. When I was an intern at  
22 a pretty good place, a chief of service said, "One thing you

1 will do all year long on my service is that you will" -- "if  
2 you order a test" -- this is before there were any  
3 computers, by the way -- "you must take a red pen and write  
4 it in red that you ordered the test, and you must fill in  
5 the results of that test with a fountain pen that had black  
6 indelible ink," he said, "because I want you to know that  
7 you ordered that test, and I want you to verify in an  
8 unequivocal way that you ordered the test." So for an  
9 entire year, I carried these things around and did this.

10 Now, that was almost four decades ago, and any  
11 given day you see me, you will see Hall has a red pen and a  
12 black fountain pen with indelible ink on it. And I think I  
13 learned from that experience that overutilization of tests  
14 is a very bad thing.

15 So we've come a long way since then, and I think  
16 MedPAC's role in this should -- I think we have a role to  
17 play here, but I think at least initially it should be more  
18 populational and maybe regional in our scope.

19 The reason for that is that if you try to get at  
20 individual providers who ordered that test, this is going to  
21 be very, very difficult. There are so many scenarios. And,  
22 by the way, lots of tests that are ordered in the name of a

1 physician are not really ordered by the physician,  
2 particularly in academic medical centers -- in fact,  
3 probably the majority of these are not directly ordered by  
4 the physician. But I think that's how we can start in this  
5 whole thing, and we'll probably find that there are some  
6 regional variations, as there seem to be with everything.

7           And then I think the onus of responsibility falls  
8 at more manageable levels, whether it's a portion of the  
9 country that has a great deal of managed care or doesn't,  
10 and I think from that point we will have sort of set the  
11 standard. I think we can do that feasibly. Or we can give  
12 everybody red and black pens.

13           [Laughter.]

14           DR. HALL: I have a whole lot of them, if you need  
15 them.

16           MR. GRADISON: With regard to the final question,  
17 the way I think about this is that the population-based data  
18 tell us whether there's a problem, but to deal with it, we  
19 have to do it at the provider level. And I think it's  
20 extremely difficult. Others have mentioned this. The  
21 administrative -- it isn't just a matter of administrative  
22 complexity. It's whether it's administratively feasible to

1 do this at that level.

2 I'm going to go a little bit more deeply. I'm not  
3 especially concerned about this issue as it applies to MA  
4 plans or two-sided ACOs, because I think they already have  
5 an incentive to do the right thing with regard to both costs  
6 and quality. Certainly in the long run they do. So the  
7 focus of my thinking -- and it's pretty tentative, I  
8 acknowledge -- is what to do with regard to one-sided ACOs  
9 and fee-for-service.

10 There, with regard to overuse, I think that -- and  
11 this is certainly rough justice, but I think if we really  
12 believe that the double scans and MRIs for lower back pain  
13 without doing conservative therapy first and having tests  
14 done more often than they should be can be dealt with, to be  
15 frank, very directly. Just pay 50 percent for the one that  
16 doesn't fall within the -- or something like that, within  
17 the parameters. I think that would get everybody's  
18 attention. It doesn't say -- it cuts into an issue which we  
19 haven't -- I haven't heard the word mentioned here, unless I  
20 missed it, and that's the accusation that we're talking  
21 about rationing. We wouldn't be rationing. It's available.  
22 you just don't make as much money out of it as you did

1 before, which, again, it may sound simplistic, and my  
2 thinking on this is very tentative and simplistic in trying  
3 to think about what to do about it.

4           What I have no idea how to do anything about is in  
5 the context of the one-sided ACO and the traditional fee-  
6 for-service is underuse. I haven't the foggiest idea how  
7 effectively to do that at the level that really counts,  
8 which is the provider level.

9           DR. CHRISTIANSON: So I'll just say a little bit  
10 more about Bill's first couple sentences. I've been  
11 thinking about population-based measurements and people have  
12 been talking here, and to Glenn's point of sort of at a  
13 general policy level, I could see where we would implement  
14 this by saying, okay, we've drawn our circle around a  
15 geographic area that includes ACOs, it includes MA plans,  
16 and, of course, traditional fee-for-service; and we will do  
17 population-based measurement for each. So we would define  
18 the three populations: those folks who have been attributed  
19 to an ACO, those folks who have been attributed to an MA  
20 plan, and the rest. And then in that geographic area, we  
21 would say, oh, you're doing 5 percent better on this quality  
22 measure, population-based quality measure if you're in the

1 MA plan. And then you do that for 160 other areas you've  
2 drawn a circle around, and then you'd end up in the MedPAC  
3 report saying in 67 percent of the geographic areas we've  
4 identified, MA plans are better on this quality measure, and  
5 in 43 percent they're worse on another quality measure and  
6 so forth.

7           So you wouldn't need to -- for that kind of  
8 analysis you wouldn't need to identify or measure quality at  
9 a specific organizational level.

10           You also really probably wouldn't need to do this  
11 -- you know, identifying the areas, and so you probably  
12 conceptually could at least do a statistical modeling using  
13 the patient as the unit of observation. And I'm not sure  
14 all the data would be available for that, but you wouldn't  
15 need to go to this sort of geographic area in quite the same  
16 way.

17           If you wanted to do it to be informative to  
18 consumers, you would want to measure the populations  
19 identifiable for each ACO and each MA, and then the rest  
20 would be fee-for-service. And so consumers could see, gee,  
21 I've been attributed to ACO A and that's not so good  
22 relative to had I been attributed to ACO B or whether I'd

1 been in MA Plan 1. But it has real limitations because, as  
2 a consumer, you're looking at -- if you're in fee-for-  
3 service, the average for fee-for-service -- and we know  
4 there's a lot of variation, and your particular fee-for-  
5 service experience may be better than any of the data that  
6 exists for the ACO population-based measures or any of the  
7 MA measures.

8           So it's limited, but it provides you with some  
9 information if you're a consumer and you're looking at our  
10 report.

11           If you're going to use it for payment purposes, I  
12 think you kind of go back to the arguments that the IOM  
13 report made recently, which is there's so much variation  
14 within geographic areas, for instance, in the fee-for-  
15 service delivery system that you would unfairly penalize  
16 some number of providers by giving them low payments simply  
17 because the average for fee-for-service was poor quality in  
18 their area. So I think we would probably have to be really,  
19 really careful if we thought we were going to use the  
20 population-based payment measures for payment.

21           So I'm trying to sort of how this population-based  
22 approach gets used, and probably I'm right with Bill in his

1 first couple sentences, which is maybe to identify  
2 geographic areas where there are potential problems, but  
3 then you would get right down to the provider level as much  
4 as you could, as much as possible to measure performance at  
5 that level.

6 DR. BAICKER: Yeah, I'm as fond of geographic  
7 variation as the next gal, and I think it's great to have  
8 that, but I do think that the subarea level is really where  
9 the action is in terms of payment and also in terms of  
10 people choosing providers or choosing systems based on the  
11 quality that we're able to give them information about.

12 So I know there are limitations in terms of small  
13 numbers. You're never going to get to individual providers  
14 and have reliable measures.

15 That also then gets to the bigger-picture  
16 question. In an ideal system, we wouldn't have to use these  
17 measures to signal these things. People would be choosing  
18 systems and providers. There would be appropriate  
19 incentives for providers to deliver high-quality care and  
20 not low-quality care.

21 And the fact that we have to pick and choose these  
22 little measures is symptomatic of the bigger problem. So

1 the solutions we want to come up with want to move us  
2 towards a better system, and that's the framework we're  
3 always evaluating these questions in.

4 And, as such, I think measuring for all the  
5 systems, or whatever words you want to use to capture fee-  
6 for-service and MA and nascent ACOs, the same measures seem  
7 key so that we have comparable information for people and  
8 also can adjust payment policy appropriately.

9 And we suspect there are going to be bigger  
10 problems in overuse in some systems and underuse in others,  
11 but that doesn't mean we shouldn't measure them all there.

12 Then figuring out which particular measures is a  
13 challenge.

14 These examples, I thought, were really great in  
15 that they focus on something where there are clinical  
16 guidelines, where it's not only low value to the patient, or  
17 potentially wasteful but also harmful. And there, it's an  
18 easy case to make that we definitely want to minimize those  
19 things because we're spending money on stuff that hurts  
20 people -- that's clearly a loss -- as opposed to the many,  
21 many cases where we spend money and it's not clear how much  
22 we're helping people; that's tougher.

1           But, here, we've got these great examples of  
2 spending money to harm people that we should clearly stop  
3 doing.

4           And are they then good enough measures to capture  
5 low-quality use?

6           Or, is it a picking-and-choosing problem where  
7 some areas are going to be doing really well on some  
8 measures and really badly on others?

9           Is there a teaching-to-the-test problem, where you  
10 choose a small basket of measures and people then really  
11 work on dampening out overuse of those while then taking the  
12 MRI that they were using on the low back pain and using it  
13 for something else?

14           These are all practical questions, and maybe the  
15 answer is if you measure enough different things and  
16 aggregate them together you can have a reasonably good  
17 summary measure, where people can quibble with this one or  
18 that one.

19           You know, there's an analogy to hospital  
20 readmissions where with any individual patient, you can say,  
21 no, no, this guy really had to go back to the hospital. And  
22 that may be completely true. It may have nothing to do with

1 the quality of care that patient got.

2 But you put it all together, and if you have a  
3 systematically higher rate of those "this guy really had to  
4 go back," something is going wrong.

5 And so maybe the answer is in aggregating enough  
6 measures together that for any individual patient, for any  
7 individual case, you can say, well, this isn't low quality  
8 care. But provider groups or providers or even areas that  
9 are systematically high on those things, we know, are doing  
10 something that we want to try to discourage.

11 So those are some ill-organized thoughts.

12 MR. GEORGE MILLER: Yeah, in line with other  
13 stories that we've heard, I recall that I was called to the  
14 emergency room because a patient insisted that he needed an  
15 MRI because he had seen that done on ER the night before.

16 [Laughter.]

17 MR. GEORGE MILLER: So, overall, I think as we  
18 examine our role as MedPAC commissioners and look at this  
19 issue, I think our role is very, very clear.

20 I'm not sure I agree with that waste and poor  
21 quality are different. I think waste would be in the  
22 category of bad. Bad would be bad, and that would be poor

1 quality, in my view.

2           So anything that's wasteful or poor quality, we  
3 shouldn't pay at any rate, I would believe, once we  
4 determine that the evidence is very, very clear that it has  
5 no benefit to the patient. And then, of course, overuse  
6 exposes the patient to more problems than it should.

7           To the question, do you overuse or underuse  
8 measures that fit into potential quality strategy, I would  
9 say yes, we should do that.

10           Second question about applying overuse-underuse  
11 measures at population levels, provider levels, or both -- I  
12 would think, for the most part, it would be at the  
13 population level, but where the patient insists something be  
14 done or -- I think Peter used the example of someone  
15 insisted something be done and that a patient may pay for  
16 it, but that's not the measure.

17           But I would go with the population, particularly  
18 as it deals with geographic variation.

19           And then the third question, quite frankly, I  
20 would agree that we should apply them to both overuse and  
21 underuse measures on all three payment systems although  
22 there is some difference in those payment systems, I

1 certainly understand.

2 I think the other issue very, very clearly -- as I  
3 mentioned earlier, there is still a great deal of health  
4 care disparities in America. A great deal. In fact, in the  
5 last five years, disparities have not gone down although  
6 quality has improved. But disparities have not gone down.

7 So I would like us to stay on this track because  
8 we recommend to Congress about payment levels, and so I  
9 think tying those two together makes sense -- to tie  
10 appropriate use for a service. We have the hammer, or to  
11 use positive terms, we have the carrot for the right  
12 incentive for payments, and they should be tied to quality.

13 DR. REDBERG: Thanks.

14 I think this was a great chapter and a really  
15 important topic. I certainly think we should be looking at  
16 potentially inappropriate use.

17 As Mary is a very busy woman and because, besides  
18 boards, she sits on committees -- and Mary and I both sat on  
19 the IOM Committee on Best Care at Lower Cost, which  
20 concluded that 30 percent of all of our health care -- and I  
21 think that's a conservative estimate -- is waste.

22 And I agree with George. I think waste is bad.

1           I'm not an economist, but I think there are  
2 opportunity costs. You could be doing something else with  
3 that time and that money, and so I just cannot justify  
4 waste.

5           And I actually think --

6           DR. CHERNEW: Can I just say that I wasn't trying  
7 to justify waste? I just want to go on record again.

8           DR. REDBERG: No, you can't talk now. It's not  
9 your turn.

10          DR. CHERNEW: I'm not in favor of waste.

11          [Laughter.]

12          DR. CHERNEW: And, fraud. I do not like fraud.

13          DR. CHRISTIANSON: Make sure the transcription got  
14 that.

15          DR. CHERNEW: Chernew does not like waste.

16          DR. REDBERG: Can I resume my comments now, Mr.  
17 Vice Chair? Thank you. Where was I?

18                 And I wanted to pick up on what Kate said because  
19 I think the really important thing besides the waste issue  
20 is that these are harmful things. I mean even if they  
21 didn't waste time and money.

22                 I, unfortunately, now see as many patients or more

1 that are coming in because of inappropriate care, which  
2 they're suffering from. You know, the radiation risk,  
3 because we looked at imaging, is just one of them, but all  
4 of these tests also lead to additional procedures. They  
5 lead to surgeries. And, as you've documented very nicely in  
6 the chapter, there are no benefits to patients for these.

7           Like Mary gave the example, maybe we're underusing  
8 PT and we're overusing MRI. Right, the PT would help a  
9 patient.

10           With MRI, you're talking about, well, if they  
11 didn't get therapy before they got the MRI, as if MRI was a  
12 therapy. This is a diagnostic test. It's not helping  
13 anyone feel better.

14           I mean, the only point of it would be to do  
15 something to help someone feel better, and you don't need an  
16 MRI for low back pain to help someone feel better. And  
17 that's a whole other story of why we're doing so much, and  
18 paying for so much, spinal surgeries that haven't been shown  
19 to help people.

20           So I think that there's a lot of opportunity.  
21 It's a win-win because we would avoid a lot of harms.

22           I mean, any procedure that has no benefit, the

1 only thing that can happen is harms. Even if you are not  
2 personally harmed, you wasted time and money. And a lot of  
3 these things lead to other things besides test -- anxiety  
4 over things you probably didn't need to worry about.

5           And I agree that overuse and underuse measures, I  
6 think Scott said, should be the same in all three systems.  
7 I think that -- you know, I practice at a university  
8 setting. I see patients in all different kinds of insurance  
9 plans. I would like to treat them all the same and make the  
10 decision that's best for that patient and not think about  
11 which insurance system they happen to be in.

12           And I think the other issue that we didn't get to  
13 mention, but we've talked about in other contexts, is the  
14 role of the patient in shared decision-making because I  
15 wager that none of those patients who got any of those  
16 inappropriate tests knew that they were getting a test that  
17 was not going to help them at all. If we were better at  
18 explaining to patients why we were ordering the test, as  
19 Bill was referring to, just on its own, a lot of those tests  
20 would go away.

21           In terms of population level or provider level, I  
22 would agree with -- I think, again, Kate said, with the IOM

1 report, or maybe it was Jon, it showed that most of the  
2 variation is at the provider level.

3           So, while I wouldn't argue with having population  
4 level and it would be interesting, I think it's really  
5 important to look at the provider level because in the same  
6 small area you're going to have marked differences and  
7 providers tend to be pretty consistent in their ordering  
8 patterns.

9           And then, certainly, I think this fits into our  
10 overall quality strategy. You know, I certainly agree that  
11 we have way too many measures right now, of quality. But  
12 the problem is, as was outlined in the chapter, they're  
13 really focused on process. That's a lot of the problem.

14           So they don't have any correlations to income.  
15 They do take a lot of time, and they take a lot of effort.  
16 And I would be happy to see a lot of them disappear, but I  
17 think these are really important ones.

18           The idea of tying to guidelines and decision  
19 support, I think, is a good one although I do have some  
20 concerns because I've seen a bunch of abstracts recently at  
21 professional meetings where people embedded decision support  
22 for appropriate use into the electronic health record, and

1 miraculously, all the tests became appropriate, but the  
2 volume of tests didn't change at all. You know, it suggests  
3 that you get good at knowing what boxes to check.

4           And I'll say I was talking to a colleague recently  
5 in New York State because New York State is considering  
6 incorporating making public reporting of appropriate use  
7 measures for PCIs for stenting. He told me at his hospital  
8 the cath lab director has instructed all the fellows to  
9 report all of the patients had chest pain at rest, which of  
10 course, makes them all to have acute coronary syndrome, and  
11 anything you would do would then be graded as appropriate,  
12 which is, I'm sure, not representative of all hospitals but  
13 is disturbing to even think of.

14           And so, in terms of the potential strategy, I  
15 think using measures like these and tying our payment, as  
16 Bill suggested, to guidelines is certainly a good start  
17 because right now we pay for a lot of care even though it's  
18 clearly outside of the guidelines. The professional  
19 guidelines give direction, but Medicare still pays for care  
20 that is considered inappropriate or outside of the  
21 guidelines.

22           But, that we would want to head towards a system

1 where we're really paying for outcomes because if you are  
2 paying for outcomes then you don't have to think.

3           You know, if you had a pot of money and you were  
4 going to get paid for the best outcomes, then you would, of  
5 course, choose the things that are most geared to getting  
6 better outcomes. So you could get the PT and not the MRI.

7           And so I would like to see us head -- which will  
8 take a little time -- to a system where we're paying for  
9 outcomes, and we're not then so focused on the providers can  
10 decide what to do and to have patient involvement in that.

11           DR. NERENZ: Okay. I think at this point in the  
12 circle about all I can do is repeat good things that other  
13 people have said. So I'll try to do that quickly.

14           In terms of the first point above, I would  
15 certainly would support additional attention to  
16 inappropriate use measures, particularly the overuse  
17 measures.

18           I think it was Peter that pointed out that the  
19 underuse measures already have a steward and a history and  
20 what not. So it may be that CMS and MedPAC could make a  
21 stronger contribution in thinking, again, in general, not  
22 about specific technical measure definitions in the domain

1 of overuse.

2 And, clearly, there's also perhaps a more direct  
3 financial benefit that ties into our payment rules.

4 So, yes, to that one.

5 I would generally favor applying the same set of  
6 measures in all three of these payment systems for reasons  
7 others have stated.

8 I just would observe that the argument for doing  
9 it differently would basically assume that financial  
10 incentives are the main drivers of practice variation or  
11 poor quality, and I'm not sure that's really the case. It  
12 matters, but I'm not sure it matters most or matters only.  
13 There are other things that matter.

14 So I think I would strongly favor, if it's a good  
15 quality measure, use it everywhere.

16 In terms of the third point, the word, population,  
17 in that bullet I think can have two distinct meanings, and I  
18 like one, and I don't like the other for purpose of quality  
19 measurement.

20 A population can be a group of people who have a  
21 defined relationship with an entity whose performance is  
22 being measured -- members of a plan, patients attributed to

1 an ACO, patients of a practice, patients from a hospital.  
2 And I think that works because you have an entity whose  
3 behavior can change and it has actors who can feel guilty  
4 and who can feel motivated to change.

5 On the other hand, a population can mean a group  
6 of people who have the same zip code or who live in the same  
7 city, and I don't think that works, frankly. I'll just say  
8 it bluntly.

9 There is no acting entity. There is no one to  
10 feel guilty. There is no one to change. There is nothing  
11 to do.

12 That works for policy analysts. It works for  
13 academics. And I love the Dartmouth Atlas work. But  
14 nothing changes. There's nobody to move.

15 There was, I think, an interesting little article  
16 10-15 years ago under the title of "Who Has Responsibility  
17 for the Population's Health?" And it observed that in Canada  
18 and Britain, other countries, there are defined entities who  
19 are responsible for the health and the expenditures and care  
20 of people in defined geographic areas, and that's part of  
21 the social contract in those places. The people have agreed  
22 to it. They abide by the decisions.

1           But the point there is that does not exist in the  
2 United States. We just don't have it.

3           So I just don't know what you do with quality  
4 measures at the geographic area. I don't know who acts.

5           And it occurred as it goes around the table that I  
6 don't think CMS is a public health agency. Maybe I'm wrong,  
7 but I don't think it is.

8           So, finally, it's probably not surprising that on  
9 the last point I'm certainly in favor of measuring outcomes  
10 but not population-based outcomes I think in the spirit that  
11 the terms is being used here, certainly not at the  
12 geographic level.

13           And I guess the last thing I would observe is if  
14 we have a disconnect often between process and outcome  
15 measures the problem may not be with the process measures;  
16 the problem may be that the outcome is too distal, perhaps  
17 too affected by things outside of the provider's control.

18           The sweet spot may be to focus on those outcome  
19 measures that are more proximal, more directly related to  
20 what the behaving entity does, and then select only those  
21 process measures that have the tightest causal relationship  
22 with those outcomes.

1           We may be wrong both ways. We may be measuring  
2 outcomes that are too distal, and we may be measuring  
3 processes that don't have all that much to do even with the  
4 proximal outcomes.

5           MR. HACKBARTH: Okay. I don't need to read the  
6 transcript on this to know exactly what I think and where we  
7 go from here. I think we agree it's important and it's  
8 complicated, and beyond that, I'm not sure I can sum up the  
9 conversation.

10           So, since we're a little bit behind schedule, I  
11 won't try to do more than that right now, and we'll move to  
12 the public comment period before lunch.

13           [No response.]

14           MR. HACKBARTH: And seeing nobody rush to the  
15 microphone, we will adjourn for lunch and reconvene at 2:15.

16           [Whereupon, at 1:21 p.m., the meeting was  
17 recessed, to reconvene at 2:15 p.m., this same day.]

18

19

20

21

22



1 we'll ask you to consider whether Medicare could use the  
2 results of comparative clinical effectiveness research  
3 directly in the design of payment policy.

4 I'll turn it over to Nancy.

5 MS. RAY: Thank you, John. I would also like to  
6 thank Joan Sokolovsky for her contribution to the paper.

7 As we have discussed changes to the delivery  
8 system and benefit design, the Commission has repeatedly  
9 raised concerns about the value of Medicare spending and the  
10 development of policy driven by the value of services. The  
11 goal of this session is to advance this conversation by  
12 discussing three ideas that base fee-for-service payment on  
13 comparative clinical effectiveness evidence.

14 First, we describe Medicare's policy applied  
15 before 2010 that set the payment rate for some Part B drugs  
16 based on clinical evidence.

17 Next, we discuss the idea of linking payment of  
18 new services to comparative clinical effectiveness evidence.

19 Lastly, we present two case studies on differences  
20 between Medicare's payment policies and other groups'  
21 evidence-based decisions.

22 Referred to as the least costly alternative

1 policy, Medicare between 1995 and 2010 set the payment rate  
2 for a group of drugs with evidence showing their comparative  
3 clinical effectiveness based on the least costly drug.  
4 According to other federal agencies, this policy improved  
5 payment accuracy and resulted in savings for beneficiaries  
6 and tax payers.

7           Least costly alternative policies affected a  
8 drug's payment rate. The policies were usually implemented  
9 by the medical directors of Medicare's contractors and local  
10 coverage decisions regionally.

11           In one instance, Medicare implemented a least  
12 costly alternative nationally under the hospital outpatient  
13 department prospective payments system for two biologics,  
14 erythropoietin-stimulating agents. The contractor's medical  
15 directors implemented the least costly alternative policy  
16 based on the statutory provision that requires Medicare to  
17 pay only for services that are reasonable and necessary for  
18 the treatment of an illness. A beneficiary challenged the  
19 policy in federal court arguing that the drugs should be  
20 paid based on its own statutorily determined payment rate,  
21 average sales price plus 6 percent. Two federal courts  
22 agreed with the beneficiary, and in April 2010, the least

1 costly alternative policies were rescinded. At that time,  
2 the policy was being applied to two groups of drugs.

3           The OIGI in a 2012 report recommended that the  
4 Secretary seek legislative authority to use least costly  
5 alternative policies for Part B drugs. We estimated that  
6 for one group of Part B drugs, beneficiaries and taxpayers  
7 would have saved up to \$122 million if the policy had been  
8 continued between April 2010 and December 2012.

9           We are looking for Commissioner input about next  
10 steps concerning this idea. Commissioners could discuss the  
11 idea of the statute, restoring the Secretary's authority to  
12 apply least costly alternative policies to Part B drug  
13 payment. Commissioners could also discuss some of the  
14 implementation issues we raised in the paper that include  
15 development of a transparent process, a process that permits  
16 input and comment from beneficiaries and a wide range of  
17 stakeholders, and a process for revisiting the police as  
18 evidence changes.

19           The second idea on today's agenda is about setting  
20 the payment rate for new services based on comparative  
21 clinical effectiveness evidence. This idea is intended to  
22 address instances in which the payment rate for a new

1 service is higher than its alternatives, even when there is  
2 insufficient evidence on whether the new service results in  
3 better outcomes.

4 Two researchers, Pearson and Bach, in a 2010  
5 Health Affairs article proposed what they called the  
6 "dynamic pricing policy." It classifies a new service for  
7 the purposes of setting its payment into one of three groups  
8 based on the availability of comparative clinical  
9 effectiveness evidence.

10 For the first group, there is adequate evidence  
11 that shows that the new service improves outcomes compared  
12 with its most relevant alternative. The payment rate of the  
13 new service would be set according to usual statutory  
14 methods.

15 For the second group, there is adequate evidence  
16 that shows that the new service produces outcomes that are  
17 similar to its relevant alternative. The payment rate of  
18 the new services would be set equal to the treatment  
19 alternative, essentially a least costly alternative policy.

20 For the third group, there is insufficient  
21 evidence on the new service's comparative clinical  
22 effectiveness. The researchers proposed that the new

1 service would be paid at a rate based on usual statutory  
2 methods for the first 3 years. At the end of the 3 years,  
3 Medicare would assess the additional clinical evidence  
4 concerning whether the new service improves outcomes  
5 compared with its alternatives. Based on this assessment,  
6 the new service's payment rate would then be adjusted  
7 accordingly.

8 We are looking for Commissioner input about next  
9 steps. Medicare would need legislative authority to link  
10 the payment of a new service to comparative clinical  
11 effectiveness evidence. Commissioners could also discuss  
12 the implementation issues, some of which are similar to  
13 those for implementing least costly alternative policies,  
14 including establishing a transparent process with  
15 opportunities for a wide range of beneficiary, clinician,  
16 and stakeholder input and comment.

17 Here are some other implementation issues  
18 Commissioners might want to discuss. The first is the  
19 notion of applying this concept to existing services. While  
20 the researchers applied this concept to the payment of new  
21 services, they acknowledge that it could also be applied to  
22 existing services.

1           Next is determining the time period for generating  
2 comparative clinical effectiveness evidence. The  
3 researchers proposed a 3-year period. Some might argue that  
4 a longer time period is needed. Some might argue that a  
5 time period specific to the service is needed.

6           Another issue concerns the entities sponsoring the  
7 research. Should that be the manufacturer, a non-profit,  
8 PCORI, and will the research generated be objective?  
9 Regarding the research design are issues concerning which  
10 alternatives should be included; for example, should watch  
11 for waiting as an alternative be included.

12           Another implementation issue concerns the criteria  
13 for evaluating treatment outcomes, for evaluating that one  
14 treatment improves outcomes compared with its alternatives.

15           Lauren will not present a third idea for your  
16 consideration.

17           MS. METAYER: Next, we will examine how Medicare's  
18 payment policies do not always align with other groups that  
19 rely on comparative clinical effectiveness research. To  
20 evaluate this, we looked at the following two case studies,  
21 which describe differences between Medicare's payment  
22 policies and Washington State's payment policies for medical

1 procedures, tests in labs, as well as the United States  
2 Preventive Services Task Force's recommendations for  
3 clinical preventive services.

4           Our first case study is the Washington State  
5 Health Technology Assessment Program. This program  
6 determines if the services paid for by Washington State's  
7 government are safe, effective, and provide value. Created  
8 through legislation in 2006, the program has the ability to  
9 make binding coverage determinations for the state's fee-  
10 for-service Medicaid enrollees, workers' compensation  
11 claimants, and the state's departments of corrections and  
12 Veterans Affairs. In total, this accounts for a little over  
13 10 percent of the state's population.

14           While this program in Washington State uses  
15 clinical effectiveness research to make coverage policy, we  
16 believe the program has implications for how Medicare could  
17 take this type of research into account in its payment  
18 policies.

19           I will now briefly go over the process Washington  
20 State uses to evaluate health technologies, but I'm happy to  
21 answer any additional questions you have.

22           First, topics are selected by Washington's health

1 care administrator for review. Each year, about 10 health  
2 technologies are selected. Health technologies include  
3 medical devices, procedures, and diagnostic tests.

4 After a technology is selected, the program  
5 contracts for scientific evidence-based reports produced by  
6 an outside research group,

7 In a public meeting, an independent clinical  
8 committee of 11 practicing health care professionals use  
9 these reports to determine which services the state will pay  
10 for. Normally, the panel decides to cover a service, cover  
11 a service under certain circumstances, or not cover the  
12 service at all.

13 When making decisions, the panel can take the  
14 safety, effectiveness, and cost of the health technology  
15 into consideration.

16 We then evaluated how the decisions reached by the  
17 Washington State program differed from Medicare's policies.  
18 In some instances, Medicare and Washington State had similar  
19 payment policies; for example, both have decided to allow  
20 the use of the robotic-assisted surgery when recommended by  
21 the attending surgeon but provide no additional payment when  
22 the technique is used.

1           There are also instances in which Medicare may not  
2 pay for a service that Washington State does; for example,  
3 Washington State pays for vitamin D screening for  
4 individuals under certain limitations, and Medicare does not  
5 pay for this service.

6           We then evaluated the instances in which  
7 Washington State did not pay for a service that Medicare  
8 did. We identified 15 different health technologies  
9 Washington State does not pay for, or pays for under certain  
10 circumstances, that are paid for by Medicare.

11           One example of such as a service is  
12 vertebroplasty.

13 We estimate that for outpatient, physician, and DME  
14 payments, Medicare paid a range from about \$683 million to  
15 \$2 billion on services that Washington State did not in  
16 2012. Several of the services identified by Washington  
17 State and paid for by Medicare have also been identified in  
18 the literature to be of questionable value.

19           The Medicare spending figures are presented as a  
20 range, depending on how sensitive a measure is used. The  
21 lower end of the spending range, \$683 million, includes  
22 spending amounts for health technologies that Washington

1 State does not pay for at all, and it does not include  
2 Medicare spending for technologies that Washington State  
3 pays for only when certain requirements are met.

4           The upper end of the spending range, \$2 billion,  
5 includes both the health technologies Washington State does  
6 not pay for, as well as the health technologies that  
7 Washington State paid for under certain requirements. In  
8 this measure, we collected all Medicare spending for these  
9 health technologies, whether Washington State's requirements  
10 were met or not. This means we likely collected Medicare  
11 spending, which would have also been paid for in Washington  
12 State, in our upper spending range.

13           We are happy to discuss these limitations further  
14 if Commissioners have questions.

15           Our next case study is the United States  
16 Preventative Services Task Force, or USPSTF. The task force  
17 is an independent advisory panel reporting to the Secretary  
18 of HHS about preventive services such as screenings,  
19 counseling services, and medications.

20           The task force assigns each service it reviews a  
21 letter grade based on the strength of the evidence and the  
22 balance of benefits and harms of a preventive service.

1 Grades range from an A, B, C, D, or I.

2 Services that receive a D grade from the task  
3 force are those which are not recommended and there is  
4 moderate or high certainty that it has no net benefit or the  
5 harms outweigh the benefits. Some of these services  
6 receiving a D grade are paid for by Medicare. One example  
7 of such a service is screening for colorectal cancer in  
8 those age 85 and older. According to a 2009 study, the task  
9 force had assigned a D grade to 16 services and Medicare-  
10 reimbursed clinicians for 7 of these services.

11 These instances in which Medicare's payment  
12 policies do not always align with comparative clinical  
13 effectiveness occur for a variety of reasons.

14 Firstly, Medicare has limited comparative clinical  
15 effectiveness information on which to base its payment  
16 policies. To help with this issue, the PCORI was  
17 established in PPACA in 2010 to sponsor comparative clinical  
18 effectiveness research.

19 Secondly, many new services and technologies fall  
20 into existing payment methods or buckets. Overall, the  
21 majority of services fall into existing payment methods and  
22 as a result do not go through a coverage process which would

1 consider its clinical evidence.

2           Lastly, Medicare's payment systems generally do  
3 not consider the comparative clinical effectiveness of a  
4 service compared with its alternatives when considering  
5 payment amounts. While Medicare may take these factors into  
6 account when making coverage policies, they rarely factor  
7 into Medicare's payment policies.

8           To address these concerns, Commissioners could  
9 explore beneficiary cost sharing for low-value services. As  
10 part of its benefit design recommendations in 2012, the  
11 Commission recommended that the Congress provide the  
12 Secretary with the authority to alter or eliminate cost  
13 sharing based on the evidence of the value of services.  
14 Adjustments and refinements in cost-sharing amounts could be  
15 made as evidence of the value of services accumulates and  
16 evolves.

17           However, there are several issues to developing a  
18 process for beneficiary cost sharing for low-value services.  
19 Firstly, there could be a process in place to consider the  
20 evidence of low-value services that has been generated by  
21 outside research groups, such as PCORI, as well as a process  
22 for stakeholder and public input. Exceptions would also be

1 needed when clinicians submit evidence that a service is  
2 medically necessary.

3 Lastly, low-income beneficiary protections as well  
4 as supplemental insurance coverage are issues that would  
5 need to be addressed.

6 This concludes the presentation. Commissioners  
7 may wish to discuss the ideas and issues addressed in this  
8 presentation regarding restoring the Secretary's authority  
9 to apply LCA policies to Part B drugs, Pearson and Bach's  
10 dynamic pricing policy, as well as ways to align Medicare  
11 payment policy with evidence-based decisions.

12 Thanks.

13 MR. HACKBARTH: Okay. Thank you.

14 Before we go to round one, could I just digress  
15 for a little bit? I want to make sure everybody understands  
16 the method by which the payment is calculated for these Part  
17 B drugs that were talked about. It's in the paper, but I  
18 just want to explore that for a second.

19 So do you want to, Nancy, describe how the payment  
20 for Part B drugs is calculated? Then I have some questions.

21 MS. RAY: The payment for Part B drugs is  
22 established on average sales price plus 6 percent.

1 MR. HACKBARTH: Yeah. So you have a drug  
2 manufacturer selling the drug to who? And come on up, Joan,  
3 if you want to participate.

4 [Laughter.]

5 MR. HACKBARTH: So in this process, who are the  
6 purchasers in this sale?

7 DR. SOKOLOVSKY: So I didn't hear the -- what's  
8 the question?

9 [Laughter.]

10 MR. HACKBARTH: The basic method for paying for  
11 Part B drugs is 106 percent of the average sales price.  
12 That suggests there's a transaction between the manufacturer  
13 and a purchaser or the sale. Who are the purchasers of  
14 these drugs for which these sales price are calculated?

15 DR. SOKOLOVSKY: Physicians, hospitals. The  
16 average sales price is based not specifically on what a  
17 provider pays but on the revenue that the drug company makes  
18 from the drug, including all rebates that it may give.

19 So at the end of a quarter, the manufacturer looks  
20 at all the revenue it made from a particular product and  
21 submits that product, that information to CMS. CMS takes  
22 that information, and by the end of the next quarter, that

1 would be -- it posts that as the payment rate for that  
2 quarter plus 6 percent.

3 MR. HACKBARTH: Okay. So for the sake of  
4 simplicity, let's say we're talking about a Part B drug that  
5 is sold to physicians or medical groups. Now what I'm  
6 interested in is, Do those purchasers, the physicians or  
7 medical groups, have an incentive to try to hold down the  
8 price that they're paying for that drug?

9 DR. SOKOLOVSKY: They very much do have that  
10 incentive, and in fact, if they can't purchase the drug at  
11 the payment rate, they are likely either not to order that  
12 drug, look for a cheaper drug. They may send the person to  
13 the outpatient department of a hospital to get it.

14 There are certain cases, certain specialties will  
15 tell the patient to purchase it at a pharmacy and have the  
16 pharmacy deliver it to the physician's office, and if it's  
17 purchased at the pharmacy, it becomes a Part D drug.

18 MR. HACKBARTH: Yeah. So the 106 percent of the  
19 average sales price, that money, that Medicare payment goes  
20 to whom when Medicare --

21 DR. SOKOLOVSKY: That's what CMS or Medicare gives  
22 -- pays to the physician.

1           MR. HACKBARTH: And so -- and pardon me for being  
2 a little bit pedantic about this, but I just want to make  
3 sure that everybody understands all the steps here. And so  
4 the incentive of the physician to bargain, if you will, with  
5 the manufacturer is that if they pay more than 106 percent,  
6 they don't get paid or they end up losing money.

7           DR. SOKOLOVSKY: That's right. You lose. Yes.

8           MR. HACKBARTH: And so the competition, if you  
9 will, is to get it less than the average, and to the extent  
10 that they can get the drug for less, the physician gets to  
11 keep that at an increment.

12          DR. SOKOLOVSKY: Yes. And there's -- I think a  
13 lot of people have the misconception that you go to the  
14 store and buy the drug at the average sales price, and  
15 that's not how it works.

16          MR. HACKBARTH: Yeah. In fact, that's why I just  
17 wanted to make sure that people sort of understood the  
18 transaction, underlying transaction that we're talking  
19 about.

20          Thanks, Joan.

21          MR. GRADISON: It seems to me that there used to  
22 be an average wholesale prices, and there was all kinds of

1 problems with that, and that this was put in to try to clean  
2 up the program actually and avoid some of the  
3 misrepresentation, actually, of prices under the average  
4 wholesale price system.

5 MR. HACKBARTH: Jack?

6 DR. HOADLEY: Yeah. I should know the answer to  
7 this, but the new -- when a drug is new before there have  
8 been a couple quarters of data, what's the marker for the  
9 price at that point?

10 [Laughter.]

11 DR. HOADLEY: Sorry, Joan.

12 DR. SOKOLOVSKY: At the beginning, before there is  
13 any data, the assumption is that it's not AWP, but it's WAC.  
14 And that could vary a lot from the transaction price, and it  
15 will take 6 months before the price actually falls to what  
16 they're actually getting for the drug.

17 DR. HOADLEY: Effectively, the manufacturer is  
18 setting the initial price for --

19 DR. SOKOLOVSKY: Yes.

20 DR. HOADLEY: -- the first couple of -- first 6  
21 months at least. Yeah.

22 DR. SOKOLOVSKY: Yes.

1 MR. HACKBARTH: Thank you.

2 So round one clarifying questions? Peter.

3 MR. BUTLER: I have two. There's some \$12 billion  
4 or something spent on the Part B. Is there a gray -- and  
5 some are -- they're often associated with they have to be  
6 physician-directed or they are, you know, injected or  
7 whatever, but some are like oral drugs that don't require  
8 assistance, just oversight. Is there a gray definition of  
9 when something could be a Part B versus a Part D drug?

10 [Laughter.]

11 MR. BUTLER: I guess so.

12 MR. RICHARDSON: This is obviously your meeting.

13 [Laughter.]

14 DR. SOKOLOVSKY: It's largely determined by  
15 statute. For example, for cancer, for chemotherapy,  
16 chemotherapy is covered by Part B, but then they started to  
17 make generic oral substitutes, exact substitutes, but what  
18 they had to infuse before. So Congress didn't want to  
19 create an incentive to keep infusing when you could take a  
20 pill, so they decided to cover the exact oral equivalents of  
21 the infused drugs under Part B too. And then there are  
22 things like vaccines that are also in statute.

1           And then the general term is if a drug has to be  
2 administered by a physician in general, it's a Part B drug.  
3 If it's self-injectable, it's a Part D drug.

4           MS. RAY: And then there is the --

5           DR. MARK MILLER: The natural -- and see if this  
6 is what you were asking, because I thought I heard you  
7 saying is there any natural rotating off of B to D.

8           DR. SOKOLOVSKY: No.

9           MS. RAY: No.

10          DR. MARK MILLER: That's what I thought, too, but  
11 I'm trying to figure out whether that's what you were  
12 asking.

13          MR. BUTLER: Well, not that narrow of a question,  
14 but I was trying to think as we addressed it for policy  
15 issue, is there an opportunity to reclassify some of these  
16 into or out of B as part of the solution of what we're  
17 trying to aim for?

18          MR. KUHN: That's an interesting question, Peter.  
19 I don't know the back-and-forth, classifying one or the  
20 other, but another example is people with COPD. So they  
21 have a nebulizer. They're at home. They use albuterol four  
22 times a day. They're basically locked in their home. But

1 under the Part D benefit, you can get an inhaler that then  
2 you can take, and then you can get out in the community, go  
3 to church, whatever the case may be. So depending on  
4 whether it's a B- or a D-covered service, it does kind of  
5 free the folks from being homebound at least in that case,  
6 and there might be other instances as well.

7 MR. BUTLER: And I guess the other somewhat  
8 related part, because there's often payment separate from  
9 but connected to the delivery of the drug for the physician  
10 that's doing the -- so if it's an oral drug, I don't -- the  
11 physician doesn't get any separate payment for that, right?  
12 Because they're not doing anything other than ordering the  
13 drug, right? Whereas, in infusion therapy or something like  
14 that, again, I'm just trying to relate whether there is  
15 economic incentives that favor the Part B versus the Part D,  
16 the way the thing is set up.

17 DR. HOADLEY: There are also, of course,  
18 differences in cost sharing. I mean, the cost-sharing  
19 structure is very different in B and D, so there's a  
20 difference on that side as well.

21 DR. MARK MILLER: You started off to say two  
22 things. Was that two, or was that one?

1 MR. BUTLER: Probably three. I don't know.

2 [Laughter.]

3 MR. BUTLER: No, there were two, two different  
4 things. One was Part D, what's in Part D versus B, and then  
5 the second was really the physician charge part of this, if  
6 it's Part B versus the drug charge part of the service.

7 MR. HACKBARTH: Clarifying questions?

8 DR. SAMITT: Yeah. On Slide 6, I'm trying to  
9 understand how the lawsuit that was described interrelates  
10 with the concept of medical necessity. So I'm not sure I  
11 fully understand. Did the provider prescribe this drug as  
12 deemed medically necessary, but the LCA policy applied? How  
13 would this be different than the development of a  
14 transparent process you've described that would provide  
15 exceptions based on medical necessity. So I'm not sure I  
16 understand the distinction.

17 MS. RAY: Okay. So the lawsuit -- so when  
18 Medicare implemented the least costly alternative policy,  
19 the Secretary based it on her broad authority to cover only  
20 those expenses that are reasonable and necessary for the  
21 treatment of an illness or injury. What the courts found is  
22 that they said, you know, but Congress has this very

1 specific rule saying this is how you should pay for Part B  
2 drugs, and they -- basically, the court said that the ASP  
3 provision trumps the broader authority that the Secretary  
4 has.

5 DR. SAMITT: Okay.

6 MR. HACKBARTH: And that's a basic rule of  
7 statutory construction when there's a provision that deals  
8 specifically with it. It is given more weight by the court  
9 than a general provision.

10 Mike.

11 DR. CHERNEW: I have a question about slide 18,  
12 also actually mentioned in the chapter. You say in the  
13 second bullet point, which is, many new services fall into  
14 existing payment methods so they don't have to go through  
15 this process, but I believe they then would update those  
16 bundles if there was some -- is there a lag by which that  
17 happens, so it's essentially an empirically-derived  
18 updating, or do they do anything else that changes the cost  
19 of the bundle that this new service is fitting into?

20 MS. RAY: Okay. So I think it probably depends  
21 upon the payment system you're talking about.

22 I mean, if it's a Part B service, let's say --

1 let's pretend it's a new -- I don't know -- a new imaging  
2 service. The Secretary would value -- would determine the  
3 work RVUs, practice expense and malpractice to determine the  
4 payment for that new service.

5 DR. CHERNEW: I was thinking more of like a DRG,  
6 where there's a new technology or service or other thing  
7 that's happening in that admission. The DRG rate gets  
8 revalued, I think, at some point to reflect the new cost  
9 within that DRG, and I'm interested--

10 DR. MARK MILLER: That's right.

11 DR. CHERNEW: And I'm interested in the timing and  
12 the process by which that happens because that seems  
13 analogous.

14 DR. MARK MILLER: Okay. So there is -- and I'm  
15 going to look at Julian while I'm doing this.

16 There's a lag.

17 Well, hey, man, we pull consultants in when we  
18 need them. You know.

19 So there is a lag.

20 So, if something shows up, a new device or  
21 something like that, that cost starts getting reported  
22 through the cost reports and starts getting pulled into the

1 process of recalibrating DRGs. It may take some iterations,  
2 a year or two.

3 And let me just say one other thing. There is a  
4 process within both outpatient prospective payment and  
5 inpatient prospect payment, where you can designate certain  
6 technologies as cost-increasing but quality-improving,  
7 meeting some particular set of tests, and say, for these,  
8 there is going to be an accommodation for the fact that  
9 they're entering now.

10 MR. HACKBARTH: So CMS is rendering a judgment  
11 sort of analogous to what we would be talking about here --  
12 that the gain is sufficiently large that it warrants a  
13 special payment adjustment, a clinical gain.

14 DR. CHERNEW: Right. I was trying to figure out  
15 if there's sort of some precedent for how this works in that  
16 process.

17 The other question I loosely had is I believe what  
18 happens in that process is if there is a DRG that gets a new  
19 service sort of underneath it and it is more expensive and  
20 that DRG gets a higher weight, it's done in a budget-neutral  
21 way. So other DRGs or other services that were totally  
22 innocent end up getting lower payments because of the

1 budget-neutral way in which these revaluings are doing.

2 I think that's right, but --

3 MR. HACKBARTH: Why don't you come to the mic,  
4 Julian?

5 MR. PETTENGILL: For the first two years -- first  
6 of all, if you come up with a new procedure or a new  
7 product, you have to apply to get it classified, get it  
8 coded -- a code for it so that it can be classified. You do  
9 that through the -- there's a Clinical Coordination  
10 Committee, ICD-9 coordination committee. You that first.

11 Then you can apply to get additional payments  
12 during the first two years for that technology, but you have  
13 to meet five criteria, one of which is that it has to be  
14 new, truly new. A second one is that it has to be at least  
15 as good as what's already available out there. And then  
16 there are some others.

17 Then during that -- if you succeed with that  
18 application, then you will get providers who will get extra  
19 payments for that new procedure or new product for a two-  
20 year period.

21 Following the two-year period, the bills -- claims  
22 -- will show up with that new code on them, and then CMS

1 will have to decide where it goes in the DRGs. Okay.

2 And then once they decide that, it will be  
3 factored into the calculation of weights.

4 MR. HACKBARTH: So how frequently are these  
5 provisions of the inpatient hospital payment system and the  
6 outpatient system triggered to allow increased payments for  
7 new technologies? It's been relatively few cases, right?

8 MR. PETTENGILL: It's relatively rare, yes.

9 MR. HACKBARTH: Clarifying questions, Bill?

10 MR. GRADISON: First of all, I'd just like to  
11 observe that the subject we're focusing on here has to do  
12 with the use of services; the examples pretty much have to  
13 do with the use of a product. That may be an unimportant  
14 semantic difference, but I'm not at all sure that that's the  
15 case.

16 What runs through my mind is just kind of a  
17 question as to whether evidence-based research comes up with  
18 clearer answers in the case of products -- drugs being an  
19 example, medical devices being another example of a product  
20 -- versus services, which might be a new way to do bypass  
21 surgery or something of the kind.

22 The reason I say that is not to question the

1 general objective here or to downplay the importance of  
2 rationality, but my sense as a layman is that more often  
3 than not, when you read the end of the reports, they say,  
4 but, you know, we wish we had a little bit more information  
5 about this subset of patients or about this comorbidity.

6           They are not always just 100 percent, and yet,  
7 this would, in application, sort of be based on the  
8 assumption that they are 100 percent because we're going to  
9 change the policy with regard to the reimbursement based  
10 upon the study.

11           Also, I'm not unmindful of the political hurdles  
12 of this. I remember when AHRQ, which I thought was one of  
13 the better things we did when I was there, almost went under  
14 because of its initial recommendations with regard to back  
15 surgery. I mean, it almost led to its demise.

16           I don't mean we shouldn't act. That is not my  
17 point at all.

18           DR. REDBERG: That was actually the Agency for  
19 Health Care Policy and Research.

20           MR. GRADISON: But this is really treacherous  
21 ground, which leads me to a question.

22           I normally don't ask about what's going on outside

1 of our borders because we have to deal with our own  
2 problems, but I just wonder if you can shed any light on how  
3 other countries deal with the same question and any way that  
4 might help us gain a useful perspective.

5 MS. RAY: Other countries?

6 [Laughter.]

7 MR. HACKBARTH: What I'd suggest is let's not try  
8 to answer that off the top of our heads now. We can come  
9 back, Bill, with some information on that.

10 Other clarifying questions?

11 [No response.]

12 MR. HACKBARTH: None.

13 So to kick off round 2, let me ask sort of a  
14 broader clarifying question about PCORI. And we're talking  
15 about basing payment -- linking payment to assessment of  
16 clinical effectiveness. Obviously, one potential source of  
17 that information is PCORI-funded research.

18 I'd like for you to just explain a little bit  
19 about how PCORI information can be used by the Secretary,  
20 and not used. The statute creating PCORI has some language  
21 on that. So would you just sort of outline that?

22 MS. RAY: Sure. So PPACA has several provisions

1 regarding how Medicare can use information generated from  
2 PCORI.

3 And so it does say that the Secretary can use the  
4 evidence from PCORI to make coverage decisions if the  
5 Secretary uses an iterative process and if it is a  
6 transparent process, which includes public comment and  
7 considers the effect on subpopulations.

8 PCORI also includes specific limitations on how  
9 the Secretary can use the information. For example, the  
10 Secretary cannot use the evidence in determining coverage  
11 reimbursement or incentives if it treats extending the life  
12 of an elderly, disabled or terminally ill individual as of  
13 lower value than extending the life of an individual who is  
14 younger, not disabled or not terminally ill.

15 So there's a couple of provisions like that. I  
16 think it remains to be seen how the Secretary is going to  
17 interpret these provisions in PPACA, you know, once PCORI  
18 does generate information.

19 MR. HACKBARTH: And the reason I just wanted to  
20 spend a minute on this is that in various places I've been  
21 you hear people talk about this language that was included  
22 in PCORI and some people seem to interpret it as, well,

1 basically, the Secretary can't do anything meaningful with  
2 the information; the restrictions are so stringent that  
3 there really is no use for this information within Medicare.

4           Mark gave me the language, and I read through it  
5 quickly. It actually didn't read that way to me. It seems  
6 like, in fact, the Secretary does have considerable  
7 latitude, legal latitude. But having said that, as Bill  
8 points out, politically, this is extraordinary sensitive  
9 work.

10           So I just wanted to make that observation at the  
11 outset.

12           So, round 2, Dave.

13           DR. MARK MILLER: Can I just say one thing as we  
14 go around on round 2? And I'm sorry I didn't clear this  
15 with the boss, so this might be my last meeting.

16           When you comment, I think there's often a real  
17 general sense of like this is, you know, you get the  
18 evidence; you make a decision. But there are many  
19 complications and many process issues.

20           So, if you lean into, okay, this is a direction to  
21 move in, I also think try and comment on trying to clear  
22 those difficult hurdles.

1           So I think I took Bill's comment this way. I did  
2 a study. The study shows that it's a little bit better, but  
3 there are some caveats. Now what?

4           And I think -- and there's a number of examples of  
5 that.

6           So, as you comment, if you could also comment  
7 about the practicality of getting down the road, I think  
8 that would help us figure out what do to with this session.

9           DR. NERENZ: That's okay. I think I may have  
10 three questions rather than comments, but I think they'll go  
11 quickly.

12           What we're talking about here seems to be very  
13 much in the spirit of what Oregon Medicaid did a few years  
14 ago. Could you talk just a little bit about where that  
15 stands and how that does, or does not, relate to our current  
16 topic?

17           DR. MARK MILLER: Joan did this, too?

18           MS. RAY: I told you she was a member of the team.

19           DR. MARK MILLER: Well, did you guys make her do  
20 all of it?

21           [Laughter.]

22           DR. MARK MILLER: I didn't know this.

1 DR. SOKOLOVSKY: At least, if I knew in advance I  
2 was going to be asked these questions, I could be prepared.

3 DR. MARK MILLER: Just sit there [inaudible.]

4 [Laughter.]

5 DR. SOKOLOVSKY: So Oregon has a wide-ranging  
6 process now that's been going on for quite a few years,  
7 where they use stakeholder meetings, public forums,  
8 evidence-gathering all over the state, to find services that  
9 are more and less important. They rank those services then,  
10 using, again, a very transparent, open process. And the  
11 idea is to pay for only things that they can afford, going  
12 up the ladder of the most important things.

13 In the past few years, they've been changing the  
14 process somewhat, trying to get at things that are less one  
15 procedure versus another procedure and more does this really  
16 help people.

17 Again, it was a very controversial thing, but  
18 again, they seemed to get a lot of consensus, going from  
19 town to town and having these open forums.

20 I don't know -- it's been two years since I looked  
21 at it. I don't know exactly where it stands.

22 But the other thing is this is also going on in

1 the private sector in Oregon, and insurers have actually  
2 gotten together as a group within Oregon to come up with  
3 benefits for the public at large and for public employees  
4 and so on, where they've identified both high-value and low-  
5 value services. And if you want the low-value, services you  
6 can have them, but there's a surcharge that's applied beyond  
7 the regular co-payment.

8 And there's been what seems to me as amazing  
9 cooperation, creating these kinds of processes.

10 DR. NERENZ: I guess then the second question  
11 would be about politics at the federal level versus what we  
12 see in Oregon.

13 Given that there was a legal decision against the  
14 LCA policy, combined with the observation about the language  
15 that does at least put some restrictions on PCORI, in fact,  
16 observing that PCORI wasn't allowed to call itself by its  
17 proper name, comparative effectiveness -- it had to be  
18 called something else -- it seems like movement in the  
19 direction we're talking about here is running against the  
20 stream or against the current in terms of political forces  
21 on the Hill.

22 So the question is, do you see any change in that?

1 Do you see signs that Congress would be more receptive to  
2 this than was the case, for example, three or four years ago  
3 with the Affordable Care Act?

4 MR. HACKBARTH: I wouldn't want to get into trying  
5 to characterize the political environment beyond what Bill  
6 said, the voice of experience here, that the politics of  
7 this are very sensitive and very difficult. And that's an  
8 important consideration.

9 But I think our first responsibility is to  
10 evaluate the issue on the merits and be sensitive to not so  
11 much the politics but the values that underlie the politics  
12 and not have that drive our --

13 DR. NERENZ: That's okay.

14 I, perhaps, could have framed it a little better.  
15 I was curious if there is any change in thinking, what is  
16 the substance of that change, and can we tie into that, but  
17 that's just a third question.

18 The comparative effectiveness basic paradigm is  
19 about average benefits or risks -- Drug A, Drug B, Device A,  
20 Device B. There's always variation around the averages.

21 And, as we get perhaps some additional traction in  
22 domains of pharmacogenomics and personalized medicine, what

1 do you think about how that will play into this discussion?

2 Is it going to make it harder to push these sort  
3 of ideas forward, or can you weave that in somehow and still  
4 have it work?

5 MS. RAY: I think there is an issue that we try to  
6 raise about what to do about, well, if in one subpopulation  
7 you do see that Widget A is better than Widget B, but in  
8 every other subpopulation you don't see that. And I think  
9 that is a challenge that needs to be thought out with these  
10 ideas.

11 MR. HACKBARTH: I think it's a really big  
12 challenge from a technical standpoint. Conceptually,  
13 though, it's really quite similar to what we talk about in  
14 value-based insurance design, where you say, well, you may  
15 want to lower the co-payments for certain drugs for  
16 diabetics because we really want them to take the drugs.

17 Actually, that's not a great example because  
18 nondiabetics aren't taking those same drugs, but you get my  
19 point -- that for people, where there are high-value  
20 services, you want them to not have many barriers. If it's  
21 not a high-value service for that population, you may want a  
22 higher cost-sharing barrier.

1           So it's the same conceptual issue, but  
2 technically, it can be a real challenge.

3           MS. RAY: Right. And I just want to add that one  
4 idea to think about is we discuss, as one of the  
5 implementation issues, including an exception process so  
6 that if a clinician thought that something was medically  
7 necessary that that could be addressed.

8           MR. HACKBARTH: Okay, Rita.

9           DR. REDBERG: Thank you. This was a really  
10 fabulous chapter and a lot of information to put together.

11           I guess to address restoring the Secretary's  
12 authority to apply LCA policies to Part B drugs -- I mean, I  
13 kind of look at everything we discuss from my perspective as  
14 a clinician, who takes care of patients, and an evidence-  
15 based sort of researcher. In those terms, it makes a lot of  
16 sense to apply least costly alternative. If you have two  
17 equivalent treatments, why would you want to pay a lot more  
18 for one?

19           Now that's not saying if people want to pay more,  
20 they can. But as stewards of Medicare's pricing policies,  
21 it doesn't make sense for that to be set as policy -- to pay  
22 a lot more money for equally effective treatments.

1           And I think sort of our current policy helps to  
2 explain why we spend more than twice as any other country in  
3 the world on healthcare but our health outcomes are ranked  
4 37th in the world by WHO standards.

5           Someone -- I think Bill -- asked about Europe, but  
6 when I compare us to Europe, I think you can't even compare  
7 because the prices of these drugs that we're talking about  
8 are 1/5th to 1/10th in Europe for the same drugs. So they  
9 don't have these kinds of issues of these very, very high  
10 costs, and their use is much lower.

11           I mean, I think it's different in all the  
12 countries because, obviously, Britain has NICE. But, in  
13 general, there is a lot lower volume of services and better  
14 outcomes. So I think perhaps we could learn something.

15           Also, that example you gave was actually -- that  
16 wasn't AHRQ. That was the Agency for Health Care Policy and  
17 Research, and they got nixed in AHRQ because the data showed  
18 that surgery for back pain was harmful compared to medical  
19 treatment, and there was a lot of political reaction to  
20 that.

21           So I think focusing our policies on what is best  
22 for Medicare beneficiaries, obviously, we want to give the

1 best treatment and the best value. And so it is different  
2 now, I think, for it, depending on whether you're looking at  
3 drugs or you're looking at procedures or you're looking at  
4 surgery.

5           And you gave a few examples that I thought were  
6 helpful in the mailing materials, like IMRT and proton beam  
7 therapy, because -- well, I mean, first of all, the U.S.  
8 Preventive Services Task Force, which you also started with  
9 -- I mean, it doesn't make a lot of sense to me why Medicare  
10 pays for Part D recommendations because not only are they  
11 not helpful, but they're harmful.

12           So, for example, PSA screening, which the U.S.  
13 Preventive Services Task Force says, I think, is Part D and  
14 we shouldn't be doing. Yet, Medicare does pay for it.

15           But it's not just the cost of the screening. It's  
16 the cost of all of the treatment that has not been shown to  
17 extend life for men from the PSA screening. And so you have  
18 men getting unnecessary surgeries that lead to impotence and  
19 urinary incontinence, and men getting chemotherapy, and then  
20 men getting proton beam and IMRT, and at incredibly high  
21 rates.

22           I mean, you look at -- Medicare's payment for IMRT

1 was \$20,000 and for proton beam was \$48,000 back in 2007.  
2 Well, as you know -- and it's happening here in Washington  
3 and across the country -- people are now investing in buying  
4 proton beam therapy units for their hospitals because  
5 Medicare is paying very generously for this even though it  
6 hasn't been shown to be more effective than even watchful  
7 waiting -- essentially, not doing anything.

8           And once you make that kind of investment, the  
9 chances are you're going to use it, and you're going to use  
10 it probably for people that are not going to benefit from  
11 it, and probably for more than even -- well, most commonly  
12 for prostate cancer.

13           So I think it's a really important issue that we  
14 absolutely should be talking about and dealing with because  
15 it's our responsibility to try to spend money wisely to help  
16 beneficiaries. And there are very good data that we're  
17 spending a lot of money on things that are hurting  
18 beneficiaries under these current policies and current  
19 pricing, and certainly, this very generous reimbursement  
20 encourages that.

21           You also gave the example of vertebroplasty and  
22 how Washington State doesn't pay for vertebroplasty. Well,

1 surgery is a whole different policy because there isn't kind  
2 of FDA for surgery. And so you can just start doing a  
3 surgery, and you don't have to have any evidence that it's  
4 of benefit.

5 That, obviously, happened with vertebroplasty. A  
6 few years later, there were two randomized studies published  
7 in the New England Journal, neither done in the U.S., but  
8 very high quality studies, against a sham procedure and  
9 showed no benefit for the surgical procedure,  
10 vertebroplasty.

11 My understanding is they kind of put a needle and  
12 some --

13 MR. GEORGE MILLER: Cement.

14 DR. REDBERG: Cement inside the spine to  
15 stabilize.

16 MR. GEORGE MILLER: Right.

17 DR. REDBERG: But no benefit, compared to a sham,  
18 but Medicare continues to pay for this.

19 So, again, a procedure where no known benefit,  
20 definite harms people suffer -- you know, there were  
21 complications, not to mention having a surgery that didn't  
22 do any better than not having a surgery in the randomized

1 control trials and at a big expense.

2           So I do think we should try to better align  
3 Medicare payment policy with cost-sharing.

4           Now that's not to say if somebody wants to have  
5 those procedures, as someone said, they should be able to  
6 have those procedures. But that doesn't mean Medicare  
7 should pay for them. You know.

8           I think our responsibility is to align payment  
9 policy with the best evidence. If people choose to have  
10 low-value procedures, I think that should be their choice,  
11 but it should not be Medicare's responsibility to pay or pay  
12 more for things that are not of higher value.

13           Oh, and the last thing I was going to say to  
14 address -- because I think it is an issue of how do you --  
15 you know, everyone is different. I'm not that optimistic  
16 that genetics is actually going to be the answer to telling  
17 us what is and isn't going to do, but I do think we could do  
18 a lot more with registries, and Medicare has been  
19 experimenting with a coverage with an evidence development  
20 process.

21           But, basically, we could collect data. I mean, we  
22 have billions of beneficiaries. If we tracked them and

1 tracked what procedures they got and tracked their outcomes,  
2 I think we could then learn what is working and what isn't  
3 working and then use that iteratively to adjust our coverage  
4 and reimbursement policy based on what is and isn't working  
5 for our beneficiaries.

6 So I think we could combine these payment policies  
7 and coverage policies with collection of more data so that  
8 we could be smarter about what we're doing and use the money  
9 to best help beneficiaries.

10 MR. HACKBARTH: So Rita's comment reminded me that  
11 I neglected to ask one other thing about the Part B drug  
12 payment system.

13 So, in our earlier discussion, we established that  
14 when the physician is buying Drug X he or she has an  
15 incentive to try to get it at the lowest cost possible. To  
16 the extent that they can get it for less than 106 percent of  
17 ASP, they benefit financially from that.

18 Now let's take it one step further. Let's say  
19 there are two drugs, X and Y, for treating the same clinical  
20 problem. X is the new, very expensive one. Y costs a lot  
21 less.

22 What I'm interested in is, what are the

1 physician's incentives to choose the lower cost drug when  
2 there is no evidence of clinical gain from the higher cost  
3 drug?

4 DR. SOKOLOVSKY: Well, let me give you an example  
5 right from Nancy's case study. When they took away the LCA  
6 policy, then the drug that had been the most expensive, and  
7 still was the most expensive, saw a huge rise in its market  
8 share because there was a bigger gap.

9 MR. HACKBARTH: Yeah, and that gap is income to  
10 the physician.

11 So I just wanted to touch that last base, which I  
12 neglected to.

13 DR. HOADLEY: Part of it is that, as we're just  
14 talking here, 106 percent of a bigger number is a bigger --  
15 is more. So you're getting your 6 percent add-on, on top of  
16 bigger.

17 And there actually have been proposals out there  
18 to make it flat add-on instead of a percentage add-on, which  
19 would partially, but only partially, address that point.

20 MR. HACKBARTH: George.

21 MR. GEORGE MILLER: No, Rita covered a lot of  
22 things I was going to say.

1           DR. BAICKER:  So one point I wanted to make just  
2   in response to the discussion about a priority list and how  
3   that has worked in Oregon and elsewhere is my reading of how  
4   well it worked was a little less optimistic in the sense  
5   that there was an agreed upon priority list, but there was a  
6   lot of pressure to move that line of coverage down and down  
7   the priority list, so that in the end I thought the evidence  
8   suggested that very few things were excluded, in the end,  
9   from publically covered programs.

10           So you need to not only be able to agree on what  
11   the ordering is, but then if you're going to try to draw a  
12   line, there's going to be a lot of battling over where that  
13   line is.  And then, of course, there's even more battling  
14   over the order right around the line, which is the stuff  
15   that's of questionable value by definition.

16           So, in some sense, the LCA-type policies that  
17   avoid drawing a bright line and saying covered-not covered  
18   can do a better job of saying:  You can still get all this  
19   stuff.  It just costs more to somebody in the system -- and  
20   the question is who -- to get the stuff that is of higher  
21   price but not demonstrably higher value.

22           That seems like a more promising way to go and

1 also less restrictive for beneficiaries. Then one wants to  
2 have an exception process for, I would think, especially  
3 low-income beneficiaries but anyone for whom -- there are  
4 very few things that are better for every single patient.  
5 There's always a patient who the other one might be better  
6 for.

7           And, there, I think you get back into that  
8 slippery slope challenge, that not having an exception  
9 process seems unreasonable given the heterogeneity of  
10 medical care. But then as soon as you have an exception  
11 process, how do you keep it from being a rubber stamp to get  
12 this idea at full reimbursement? All you need to do is fill  
13 out an extra piece of paper.

14           And that -- you know, I don't have an answer to  
15 that, but it seems like having some sort of safety valve is  
16 necessary. But having it be a valve rather than an open  
17 spigot is a challenge, to use all plumbing metaphors.

18           [Laughter.]

19           DR. BAICKER: And, therefore, I'm done. I don't  
20 have any other plumbing knowledge.

21           MR. HACKBARTH: Remarkably consistent in your  
22 plumbing.

1 DR. CHRISTIANSON: I can't think of any plumbing  
2 metaphors.

3 DR. BAICKER: Then you're done.

4 DR. CHRISTIANSON: Then I'm done.

5 [Laughter.]

6 DR. CHRISTIANSON: I agree with the first point.

7 I think we should follow the OIG's lead and pursue that.

8 I don't know what to think of the second bullet,  
9 the dynamic pricing policy. I think I'd have to think a  
10 little bit more about that. It seems reasonable. I'm not  
11 sure whether there have been alternative policies that have  
12 been developed to do the same thing. Probably not. I'm not  
13 sure that that has the same level of attention needed by the  
14 Commission as the first bullet.

15 And the last bullet, I'm totally in agreement with  
16 Kate, I think it's an easier call than trying to draw this  
17 line and say something's covered or not covered. And I  
18 think we need to do it if we're going to be stewards of  
19 Medicare's dollars, which I think is one of the things we're  
20 supposed to be doing. So I think we need to advocate for  
21 that.

22 MR. GRADISON: In addition to the idea that maybe

1 somebody wants a more expensive procedure they've got to pay  
2 for it or pay some larger proportion of it, I think we might  
3 want to give some thought to the flip side, too, which is  
4 that if somebody chooses a less expensive, they get a  
5 monetary benefit. I mean, so often we talk about these  
6 financial incentives just between the drug company and the  
7 provider and the hospital. But money might have a bearing  
8 on the choices that the actual beneficiary makes. That's a  
9 kind of -- I don't know of an example of where we do that,  
10 and I can see where it might be considered inappropriate,  
11 we're mixing dollar incentives with lives and all that. But  
12 it might influence behavior in the direction we'd like it to  
13 go.

14           Not to raise any questions that Rita might not  
15 want raised at this point, but as I thought about what I was  
16 saying a few minutes ago might have come across as anti-  
17 science, let me just point out that there can be some pretty  
18 high-level groups that come up with some recommendations on  
19 things that aren't exactly universally accepted, and I  
20 think, of course, of statins as the most recent examples of  
21 the point where people can examine the data -- I mean  
22 experts -- and come up with very different conclusions. And

1 so in a sense, the assumption here is, well, we're going to  
2 get a study and it's going to be so good, nobody can  
3 question it. Therefore, we should implement it. And if it  
4 is that good, we ought to implement it. This was a high-  
5 volume issue, the statin issue. It isn't that simple.

6 DR. HALL: I think this is a very important issue  
7 and extremely complex issue. Just a couple of points.

8 One, when the LCA policy was in place, the courts  
9 of appeal were usually the managers of the regional Medicare  
10 administrations around the country.

11 MS. RAY: [Off microphone.]

12 DR. HALL: Right, yeah. And I can tell you the  
13 number of hours that were spent and amount of anger and  
14 frustration that developed over that process was monumental,  
15 so that at least the physician community very strongly  
16 supported trying to get rid of this. And a lot of it was  
17 not so much the argument over who was right but that the  
18 mechanism of having to tie up your office for a half-hour or  
19 maybe five or six phone calls just wasn't worth the effort.  
20 So as a country, we should probably be able to do better.

21 On the other hand, in the non-Medicare world, most  
22 prescribers are quite used to the idea of restricted

1 formularies. In fact, most offices in any large city may be  
2 dealing with 20 or 30 different formularies for drugs that  
3 are -- where certain drugs are restricted, probably along  
4 the lines of LCA policy. So we're not reinventing the wheel  
5 here when we say that the standard of medical practice now  
6 is to understand that there is some restriction based on  
7 price and efficacy.

8 I worry that the clinical evidence on PCORI, which  
9 I strongly support, isn't really in yet as to whether PCORI  
10 is going to be a solution to some of these problems. PCORI  
11 has a lot of studies out and a lot of money out there, but  
12 very, very precious little evidence. That's not a criticism  
13 of them. It's just a matter of timing.

14 The other thing about if we say, well, you can pay  
15 for it if you want it, that really kind of restricts the  
16 poor and minorities who really don't have the option of just  
17 paying for it. You take one suitcase instead of four, and  
18 they say, well, if you want four, you can pay for it. So I  
19 worry a little bit about social justice when we deal with  
20 this.

21 I think we need to look much more carefully at  
22 what kind of standards we might assume for Medicare, and one

1 would be to take a really deeper dive on PCORI and where we  
2 think things are right now on the amount of evidence that's  
3 out there that we could use and translate, say, in a year or  
4 two from now.

5 MR. HACKBARTH: So Bill raises the notion that  
6 there are decisions being made like this -- and he gave the  
7 example of drug formularies of private plans -- that have  
8 some of the same quality, where judgments are being made  
9 about cost versus effectiveness, and we're going to use this  
10 drug and not that drug. And I just wanted to note that  
11 that's also a mechanism for decentralizing decisions about  
12 this.

13 So one of the desirable characteristics of saying,  
14 well, you can -- Medicare will pay this, you can have the  
15 drug if you really want it by paying more, is it means it's  
16 not a black/white decision. There's some choice involved,  
17 and so it takes some of the heat off.

18 Well, another potential mechanism for taking some  
19 of the heat off, creating an environment where people are  
20 making these judgments on a decentralized basis is through,  
21 you know, Part D plans, Medicare Advantage plans, or through  
22 bundled payment systems in traditional Medicare. And so,

1 you know, there are different paths by which this problem  
2 can be approached, and I think one of the things that we  
3 need to think about is taking all factors into account,  
4 which of these paths is not only the most logical for  
5 Medicare but the most likely to be durable, politically  
6 acceptable, legally strong, et cetera.

7 MR. BUTLER: So I'm not an expert on the politics  
8 of getting the reinstatement of the Secretary's authority,  
9 the likelihood of that occurring, but I had mentioned the  
10 \$12 billion in Part B because you sit there and you say the  
11 amount of time we spend on LTCH and IRF payments, which  
12 together equal Part B spending on drugs, think about the  
13 time we spend on those two issues, and this is as large as  
14 those two combined. And home health is only \$18 billion.  
15 So just the Part B side of drugs is \$12 billion, and it  
16 looks like there's a solution here that will not impact the  
17 fundamental care of the people we're delivering services to.

18 So we ought to spend some time on being bold about  
19 saying -- whether it's an offset to SGR or whatever it is,  
20 this should be an easier place to address things that make a  
21 difference than some other areas. So I don't know if that's  
22 practical, Glenn, or -- but in terms of prioritization, it

1 seems to make a lot of sense.

2           The one that drives me -- just I have to vent on  
3 this one, the proton beam thing, where it's often maybe \$100  
4 million and every day I hear this marketed in Chicago on the  
5 radio about how it's -- I'm not saying it's false  
6 advertising, but it certainly is encouraging, you know,  
7 treatments to fill a largely vacant site with services that,  
8 you know, don't make a difference. It's frustrating.

9           DR. NAYLOR: So we don't hear those commercials in  
10 Philadelphia. I really also support --

11           DR. REDBERG: They're building a center. You will  
12 [off microphone].

13           [Laughter.]

14           DR. NAYLOR: I support the principles associated  
15 with returning to least cost alternative policy. And just a  
16 couple of things.

17           I do appreciate the attention that you paid in  
18 this work to the process and the transparency of the process  
19 that I think will be central in success. And I do think it  
20 represents kind of an opportunity to really get  
21 beneficiaries engaged. So in a recent study committee on  
22 delivering high-quality care to cancer patients, number one

1 priority was trying to make sure that the beneficiaries had  
2 information about what the benefits were, what the risks  
3 were, what the costs associated with, what the evidence was  
4 and its limitations. And so I wonder whether or not some of  
5 our direction can't be to make sure as we're trying to push  
6 policy change, we also push for really making the kind of  
7 information that engages beneficiaries in decisionmaking,  
8 and some have talked about other incentives that might be  
9 associated with that. But I think just basically making  
10 sure that people have the knowledge upon which they're  
11 making decisions is central, fundamental, and so on.

12           The second part that I really liked is that I  
13 think we can really promote understanding of best practices  
14 here, best practices or at least lessons learned from states  
15 such as Washington and others, and kind of enabling a real  
16 conversation then about what MedPAC might do from knowledge  
17 of multiple local initiatives, state initiatives.

18           S those are my comments.

19           DR. HOADLEY: So on the least costly alternative,  
20 I definitely support, you know, our getting involved and  
21 making suggestions on changing that policy. And, you know,  
22 there's even more potential dollars on the table than some

1 of the examples in the chapter with the IG's memo of a  
2 couple years ago on the macular degeneration drugs that can  
3 raise the dollar stakes even higher. So there's definitely  
4 real money on the table with that one.

5 I think beyond that, you know, I wanted to talk  
6 for a minute about sort of the whole notion of how the  
7 beneficiary gets involved and this notion of cost sharing.  
8 I think the problem is there's a lot of different  
9 situations, so it's one thing when you talk about -- I mean,  
10 we have this in Part D, differently designed, differently  
11 run program in a lot of ways, but we've got tiered cost  
12 sharing formularies and those sorts of things. So this  
13 isn't unique.

14 But I think one of the things that differs as we  
15 think about the different kinds of examples that have been  
16 used here is do we treat the idea that the beneficiary might  
17 pay the difference the same way in cases where clinical  
18 evidence is quite clear that something is an undesirable  
19 service versus ones where the case is much closer, do we  
20 treat the beneficiary's responsibility differently in  
21 situations where they can clearly understand the choice of  
22 two different competing drugs versus some surgery that their

1 doctor has said is good for them even though Medicare is  
2 maybe saying no, you have to pay extra for that? Obviously  
3 getting into better beneficiary education and the shared  
4 decisionmaking and some of that could be a part of this  
5 story.

6 Do we treat beneficiary responsibility differently  
7 when the size of the cost difference is different? So it's  
8 one thing to say, okay, two drugs, one is \$100, one is \$50,  
9 you pay the extra \$50. It's another thing to use some of  
10 these thousands and thousands of dollars for these  
11 procedures.

12 Now, the examples we've talked about are mostly  
13 ones where we think -- a lot of people think pretty clearly  
14 they're not desirable procedures, but if you get to one  
15 that's more on the border and then the difference in cost is  
16 \$20,000, we're not just talking about the effect on a low-  
17 income person now. We're talking about the effect on a lot  
18 of other people.

19 And then all of that comes back then to this  
20 question of how do you do an exceptions process, and on the  
21 one hand, we don't want -- to go back to the plumbing  
22 analogy, you know, we don't want it so open that the spigot

1 is just open; but we also don't want it so closed that it's  
2 barely a drip coming through, if I can work in that  
3 metaphor. And like so often we talked about on the Part D  
4 side, exceptions processes that we don't understand very  
5 well and the sense of frustration, when people do want to go  
6 through an exceptions process it's so hard to do and it  
7 requires so much work both by the doctor and the patient  
8 that people give up and don't do it, even when maybe there's  
9 a legitimate case.

10           And so I think it's the right concept, but  
11 figuring out how to do those well is something I'm not sure  
12 we've figured out yet. So there's a lot of things in there  
13 that the last thing I had written down in my notes, but  
14 we've sort of gone to politically what's possible, because,  
15 again, as we've said before, there's a lot of politics  
16 around these issues, and even to do some of these things is  
17 going to get a lot of pushback. But I do think there's a  
18 lot of tough things to think through on the beneficiary role  
19 and how we could get that right and be fair in lots of  
20 situations.

21           MS. UCCELLO: All of this plumbing talk is just  
22 reminding me that I really need to remodel my bathroom.

1 [Laughter.]

2 DR. HOADLEY: But Medicare won't pay for that.

3 MS. UCCELLO: It's all me.

4 So I think this chapter is a really great  
5 complement to the chapter we just had, and Bill G. brought  
6 up something that I think is worth repeating: that we can  
7 think of overtreatment in terms of quality or we can address  
8 it through payment. And in this chapter, we're just  
9 thinking more, you know, explicitly about payment changes to  
10 address some of these issues. So I just thought that was an  
11 interesting way to kind of think about these things.

12 And also, as Glenn talked about, does moving to  
13 broader bundles kind of address both of these better?  
14 Probably yes.

15 So in terms of the three questions here, you know,  
16 yes, I think it makes sense to restore LCA policies.

17 In terms of dynamic pricing, I think that makes  
18 sense. I was really intrigued by the suggestion about using  
19 an escrow account for the way to do this, because I think if  
20 we start -- I mean, there are now three options. One would  
21 be to just pay them a higher price from the beginning and  
22 then have to lower it, and that's not great. And the other

1 one was you start out low and then just later maybe pay them  
2 more. And so this seems like a really good compromise.

3           And the third -- oh, and one more thing I wanted  
4 to say on this. I am not an expert on this at all, but in  
5 terms of the comparative effectiveness analysis, it's my  
6 understanding that it's better to have as inclusive a set of  
7 options in the head-to-head trial directly because you don't  
8 really have the transitive properties of looking -- getting  
9 results from different studies that have maybe different  
10 methods and different populations. When you compare them,  
11 it doesn't actually work. So having them all in the same  
12 study under the same rules is the best way to really get at  
13 what's going on.

14           And the third one, yes, you know, bringing cost  
15 sharing into this makes sense.

16           DR. COOMBS: So I enjoyed reading this chapter as  
17 well. Thank you very much.

18           One of the things I thought about was I recently  
19 was in San Francisco for a critical care meeting, and I went  
20 to an update on post-cardiac arrest management in the ICU.  
21 And, you know, the article appeared in the New England  
22 Journal that said 34 is no good anymore, 36 is just as good

1 as 34. So in the ICU, we chill people after cardiac arrest,  
2 hoping that they have great neurologic recovery and can kind  
3 of avert some of the changes that people see if they don't  
4 have the hypothermic protocol.

5 As it turns out, this study actually showed that  
6 there's no difference between 36 degrees and 34 degrees,  
7 which says that basically a lot of what we've been doing in  
8 this one study -- just this one study -- maybe we've been  
9 going overboard with hypothermic protocol. Yet it has been  
10 implemented in every single center as a standard of care.

11 Much of the discussion centered around we need  
12 more evidence before we stop doing it, which is always  
13 interesting. And it's like the dying of the Swan-Ganz  
14 catheter, which has already passed away, in the sense that  
15 physicians will have major interventions for some period of  
16 time, and it's a very hard period -- very difficult period  
17 before we get to the place where we say that's no longer  
18 good, let's change and about-face on that.

19 I think about that when I think about comparative  
20 effectiveness in all venues, and so the slide that's on,  
21 let's see, page 11, I was thinking, well, how better to deal  
22 with this, because I'm not a lumper, and I wouldn't say that

1 we could satisfy any of these three categories with just one  
2 solution from our summary slide. And I was wondering, in  
3 the first category where it says evidence of improved  
4 outcomes compared with alternatives, you might see that  
5 there's this category one group of entities that has so much  
6 persuasive data that's out there, and you might have a  
7 different approach. You might do an LCA approach to that.

8 Michael said something, I think it was three  
9 months ago, about -- yeah, and I extracted it.

10 DR. CHERNEW: [off microphone].

11 DR. COOMBS: Okay. Which was to have the  
12 Secretary be responsible for giving the directive for  
13 determining certain -- making certain decisions around this.

14 I do have a problem with that because you're  
15 building an infrastructure of decisionmaking on one entity,  
16 you know, and that in and of itself seems like it might be  
17 binding on this set of circumstances.

18 If you go to the second category, you might do  
19 what's proposed in the paper by Pearson and Bach in terms of  
20 the dynamic pricing approach.

21 And then the last category, I would have a problem  
22 with doing a dynamic pricing approach to that one because if

1 you come up with a very expensive alternative, you're going  
2 to have that implemented for three years, which is going to  
3 be very costly, for some low likelihood of effectiveness.

4           And so I'm thinking that maybe there's a way to  
5 divide and conquer and approach each one of them in a  
6 tailored fashion. And so I think that protocolized care is  
7 very good, but I think there's several areas now where if  
8 prostate cancer would just disappear, we'd be all set. But  
9 people are going to be taking PSAs for countless ages of  
10 time, and there's always going to be alternative therapy and  
11 shared decisionmaking, which is a part of the choice part  
12 that you told us to look at today, which is really  
13 important, and given that there's this window of data that's  
14 constantly changing based on information, you know, it would  
15 be not good for us to lead the way and having draconian  
16 measures around some of these things. Some of them, like  
17 the virtual colonoscopies and things like that, I think you  
18 can move ahead with, but you really have to decide what kind  
19 of interventions are going to be -- whether it's biologics  
20 or whatever, are the things that you want to say let's hang  
21 our hat on. And I don't think you can do an all or none.  
22 This is not like an action potential. You have to begin to

1 look -- I think tailoring for me makes more sense, a  
2 tailored approach.

3 MR. KUHN: I think folks can tell that we're  
4 struggling with this one. We know that there's a fairness  
5 issue that we're trying to get to value in the Medicare  
6 program. At the same time, I think we're also sensitive to  
7 the developers that develop the devices and the new drugs  
8 and others and want to make sure that they use their capital  
9 wisely and they're rewarded for putting their capital at  
10 risk as well.

11 So I want folks to at least come away that I think  
12 generally the Commission is very sensitive to both sides  
13 here, but we also want to be respectful to the taxpayers who  
14 are funding all this, as we know.

15 So, Glenn, if I might, I'd just say that when we  
16 get to the end of this session today, if there's folks in  
17 the audience, help us out here. You know, stand up at the  
18 microphone and make some comments, both if there's  
19 providers, consumers, or even developers out there, and also  
20 follow up with the Commission, because I think this is one  
21 that we're -- there's an issue here, we're struggling, and  
22 so I think we need some help her.

1           But having said that, we're focusing a lot on the  
2 LCA process here that's been invalidated by the courts. But  
3 the Secretary right now has a pretty good suite of tools to  
4 use. Not all of them are perfect. Some of them are pretty  
5 inexact, but let me just kind of catalogue those here,  
6 because one of the other things we might want to think about  
7 is are there ways we can refine or make some recommendations  
8 to refine some of the existing processes out there to make  
9 those more functional as we go forward?

10           So, one, I believe they still have the authority,  
11 the local coverage determinations, which provide both coding  
12 and payment guidelines authority that are out there. And as  
13 Glenn talked about earlier, it is a decentralized process.  
14 It gets it out in the communities, out in the fields, real  
15 engagement with the providers out there that seems to --  
16 everybody understands that process, seems to work pretty  
17 well.

18           There's the inherent reasonableness process. IR  
19 was also invalidated by the courts years ago. CMS had to go  
20 through a new rulemaking. They've rarely tested it since  
21 they've gone through the new rulemaking, have tested it at  
22 all, but nevertheless it is a tool that's on the books that

1 they can use now.

2 MR. HACKBARTH: Herb, describe a little bit more  
3 for people what inherent reasonableness is and where it's  
4 applied.

5 MR. KUHN: So mostly the application -- and Mark  
6 and maybe others here can help me -- is mostly, I think, for  
7 in the durable medical equipment area, but I think it could  
8 be used in the drug space. But it goes in terms of  
9 increments. So you can't go in and say, like LCA, and bring  
10 two together in terms of same prices. You can only reduce,  
11 say, a price by about 15 percent as part of the process. Do  
12 I have that right, Mark? Okay.

13 DR. MARK MILLER: It's mostly -- and anybody can  
14 help me out. Should we get Joan to walk off and come back?

15 [Laughter.]

16 DR. MARK MILLER: I don't know how you guys want  
17 to do this. But my sense of the IR process -- and I haven't  
18 looked at this lately -- is it's really a price process

19 MR. KUHN: Right.

20 DR. MARK MILLER: It's just sort of these two  
21 prices are different, this thing is about the same, and,  
22 therefore, the Secretary is going to walk it from one price

1 to another.

2 MR. KUHN: Yeah, it's kind of -- it tries to kind  
3 of create a leveling process there.

4 DR. MARK MILLER: Yeah, whereas this is more  
5 infused, if you will -- how do you like that?

6 [Laughter.]

7 DR. MARK MILLER: The Chairman doesn't like it, so  
8 we won't be doing that.

9 This has more of what's the evidence and, you  
10 know, then setting the price in that instance.

11 MR. KUHN: Right.

12 DR. MARK MILLER: Shouldn't have used up all of  
13 the plumbing -- [off microphone]

14 [Laughter.]

15 MR. KUHN: Yes. So you've got the IR process.  
16 There's administrative rulings, which are rarely used, but  
17 there are opportunities, particularly when you have the  
18 beneficiary opportunity to pay for more that's out there. I  
19 know the most recent one I'm aware of is with intraocular  
20 lenses and the dual aspect nature of those IOLs. So  
21 Medicare would pay so much, and then if the beneficiary  
22 wanted the other benefits of the enhanced features of the

1 IOL, they would have to pay separate out-of-pocket.

2           There are registries, as Rita had mentioned. We  
3 know there's one for cardiac implantable devices. That's  
4 been up and going for six or seven years. Wonderful data in  
5 that registry, perhaps others to mine to influence national  
6 coverage determinations could be part of their toolkit.  
7 There's coverage with evidence development where they begin  
8 covering now, collect the evidence that's out there.

9           In the DME space, of course, we have competitive  
10 bidding is another tool that Medicare has right now.

11           And then, finally, the new tech add-on that we  
12 talked about with new technologies that come out and the  
13 ability to enhance the payments immediately.

14           So there is a big suite that's out there, but all  
15 of them are clunky, cumbersome, difficult to implement. I  
16 don't know if LCA is any easier for them to kind of move  
17 through the process, but, you know, as we go through that,  
18 it would be interesting if folks could give us thoughts on  
19 any of those. But are there ways to even refine those to  
20 help the process move forward as we think about this?

21           MR. HACKBARTH: Herb's comment also sort of  
22 highlights that, you know, it's one thing to say for MedPAC

1 to recommend and Congress even to enact new authority for  
2 the Secretary. It doesn't mean that the Secretary will use  
3 that because often these things are complicated and are  
4 controversial, and so it's not just a matter of having the  
5 tools. It's also a willingness, a determination to use  
6 them.

7 MR. ARMSTRONG: So I want to acknowledge, like  
8 other Commissioners, this is, I think, a really important  
9 topic, and it's very complicated, and I wish I could offer  
10 more specific advice for how we go forward with this. But I  
11 will just make a few points, I think many of which have been  
12 made already.

13 First, I don't think I could make the case any  
14 more strongly than Rita did that the evidence should  
15 influence our payment policy and that this -- it's an  
16 important agenda for us to move forward with. It's  
17 complicated, but it's already being done. And so this is  
18 what my organization does all the time, and so let's look at  
19 what's working and what's not working. Let's also recognize  
20 this isn't just about affordability and expense trends.  
21 This is about saving people's lives as well. There are a  
22 lot of procedures and drugs that we never covered, never

1 allowed on our formulary that were withdrawn that killed  
2 people. And that alone calls on us to do a better job of  
3 really applying the evidence to these coverage decisions.

4           We do worry about the pushback. I know many of us  
5 have commented on that. I welcome it. I think that this is  
6 what our particular responsibility of MedPAC is, is to do  
7 what we think is the right thing, not the feasible or  
8 expeditious thing. And so if we're really getting a lot of  
9 people's attention, then I think we're doing our jobs.

10           The point has been made -- I live this -- we will  
11 really need to look at an exception policy. The evidence is  
12 constantly changing, is one issue, so we need to be  
13 flexible, and yet we also need a process by which we're  
14 using judgment on a case-by-case basis. So I don't -- there  
15 are organizations that have figured out how to strike that  
16 balance. I think we can figure that out.

17           Some of it will -- I thought Glenn was referring  
18 to one really excellent idea, and that is, at a federal  
19 level, being clear about the features of a process that are  
20 meeting our expectations that we can judge as opposed to  
21 having the right answer for coverage on all cases or  
22 something like that.

1           And then, finally, I agree with this point that  
2 several people have made that, you know, if beneficiaries  
3 choose to do something that is of low value or potentially  
4 even harmful to them, and they want to pay more money for  
5 that, I think there's discretion that we should tolerate.  
6 But I would push even more strongly -- a point several  
7 people have made -- that when beneficiaries truly understand  
8 the implications of their choices, as driven by the  
9 evidence, I trust that fairly frequently they're going to  
10 make the right choice.

11           And so in our own case, we know just consistently  
12 applying evidence-driven alternatives to hip and knee  
13 surgery has driven a 25 to 40 percent drop in patients  
14 choosing to have the surgery as opposed to the alternatives,  
15 which are, in their cases, better alternatives, they're  
16 happier, and the cost is significantly lower. We ought to  
17 ask how do we hold ourselves as accountable to engaging  
18 patients in those conversation at the same time we're  
19 talking about different payment structures.

20           DR. CHERNEW: So again, it's another really good  
21 topic and a very good chapter. I just want to start by  
22 picking up something Herb said, which is there's a broad

1 issue just generally about balancing innovation and  
2 stewardship. A lot of that doesn't play in -- come into  
3 play here, because we're typically at least -- and this is  
4 talking about things that are really very similar. So the  
5 innovation is really not that much, and I think the  
6 stewardship is much more important, by and large.

7           So going down the list, I find the court case, it  
8 may be legally reasonable, but I find it frustrating in  
9 general when you go through some of the particular examples.  
10 So I'm loosely supportive of the LCA-type policy.

11           I'm not horribly optimistic about it for a whole  
12 bunch of reasons that that would solve the problem. In  
13 fact, when we tried to do this before, we were trying to  
14 pick areas. We got into sort of Jon's problem. We got  
15 narrower and narrower and narrower, and there's a few sets  
16 of things, and they were clear examples. And I think that's  
17 better than not being able to do that, but I wouldn't view  
18 that as a broad solution to this problem as a general rule,  
19 so that's my basic take on that.

20           I think that I am going to jump to the third one,  
21 because I think it relates, because again, the way the LCA  
22 policy works is you're just charging someone more for the

1 amount that's over. That's very much like charging them  
2 more for low-value services, which you might imagine, as a  
3 value-based insurance design advocate, I'm a big supporter  
4 of charging people more for low-value services. I think  
5 it's important to align them. I think charging them more  
6 helps motivate people to look for the evidence. It helps  
7 align the patients and the physicians' incentives. I think  
8 it's a reasonable thing to do. There's a question about how  
9 to do that and how to put it into place. There's a lot of  
10 operational issues about how one might do that; for example,  
11 how it interacts with supplemental coverage matters and  
12 things of that nature.

13           But I like for some of these things that we talk  
14 about where there's sort of patient demand for X, Y, or Z,  
15 and there's marketing campaigns going on to get patients to  
16 do X, Y, or Z, I think having some patient counterweight is  
17 valuable. It allows you to have a little more flexibility  
18 in the system as opposed to this is not covered at all or it  
19 is covered.

20           So by setting the right amount of cost sharing, I  
21 don't worry so much if you get it wrong in some instances,  
22 although again you have to worry about equity and a variety

1 of things. I'm supportive of that, of the cost-sharing  
2 approach.

3           With regards to the dynamic pricing policy or more  
4 generally the role of -- I'll go with comparative outcomes  
5 research and all this or PCORI, I'm obviously a supporter of  
6 research in general. I am weary of going too far down the  
7 road towards a research-driven pricing-type model or system  
8 just in general for a variety of reasons.

9           I think very much on what Bill was saying -- I'm  
10 skeptical of how the research actually plays out. It's  
11 often not so cut and dry. I think there's a lot of issues  
12 about how it changes, what comparator you pick, over what  
13 time you look, and so it's not that I have a problem with it  
14 sort of in concept as much as in practice. I think it's  
15 going to be much harder to do.

16           In fact, I think in Oregon -- and again, Glenn  
17 could say -- they had a process that started the very first  
18 time. It was a very research, pro research -- you know,  
19 they were going to have a list. They were going to do  
20 researchers. They were going to turn the keys over to the  
21 academics -- no one ever does that -- and it was going to be  
22 wonderful. And now they have a process that is town

1 meetings and consensus building and discussion of values and  
2 the sort of research notion that we're going to tie this,  
3 and then we're going to get to list. Really, it's a lot  
4 weaker than one would think in a world where you think  
5 someone is going to do five studies, and then they know  
6 exactly what you should price and what you should charge. I  
7 think that turns out to be very complicated for a whole  
8 bunch of reasons about knowing how to value quality of life,  
9 knowing what the right comparators are, and just an enormous  
10 number of subgroup heterogeneity issues.

11           So I am -- I don't have a problem with the basic  
12 idea behind some of the Pearson and Bach things. You start  
13 at one price. You move to another price as evidence comes  
14 in, but in there -- and I think the real tension is there  
15 needs to be discretion in how this plays out, and it's never  
16 going to be tremendously cut and dried.

17           And I'm weary of very strong connection of sort of  
18 price setting to sort of outcomes research or some other  
19 version of that, but I do think giving the Secretary some  
20 discretion -- and I very much appreciated Herb's comment --  
21 maybe enough discretion exists there now. I wouldn't know,  
22 but you're going to need some discretion, an I'm

1 particularly interested in how that plays out if we move to  
2 broader bundled payment models.

3           You know, I tend to think that changing the  
4 payment system and bundling around this helps you, because  
5 other organizations can manage this, but in many of these  
6 settings, I think there's other ways in which the innovation  
7 just gets put in, and the prices get driven up. There was a  
8 particular service that got a particular fee, but it fit  
9 through a given DRG or fit through a given APC.

10           So thinking about how we manage innovation and how  
11 that affects the prices for a whole set of things and how  
12 that works in more broadly bundled settings is a general  
13 agenda item that I think is going to be really important,  
14 because if in fact technology both improves -- broadly  
15 speaking, improves people's health if used wisely and  
16 increases spending if used wisely, we need to think about a  
17 system that allows us to manage that efficiently, because  
18 any waste in that process just drives out good things and  
19 causes us to do stuff that's really inefficient.

20           DR. SAMITT: I think mostly everything has been  
21 said. I have one overarching comment and one specific.

22           I look at these things, and they just all

1 naturally make sense, but I think we're asking the wrong  
2 questions. I mean, I think that these policies certainly  
3 align with what we're trying to accomplish, but I think we  
4 then all step back and have reservations, because it really  
5 then warrants our ability to decide what's high value and  
6 what's not high value, and that's where the complexity is.

7           But I'd say that it's a heavy-lifting but very  
8 worthy discussion. How do we improve the value of health  
9 care if we're not willing to invest in understanding what  
10 works and then making the tough decisions to align  
11 incentives to pay more for those things that demonstrably  
12 work versus things that don't? So if we have any major  
13 task, it's to do exactly that, and that's the piece that I  
14 feel is missing. We need to invest more in comparative  
15 research and then be willing to make decisions to pay more  
16 for the things that work better.

17           The one specific thing that I would suggest, which  
18 may be provocative, was I was surprised to see that we're  
19 still paying for these Grade D USPSTF services, and it feels  
20 to me -- and this falls into the shared decision-making  
21 realm -- that at a minimum, if anyone is going to prescribe  
22 these services, there needs to be a precaution label for

1 patients that essentially says if you're going to do these  
2 things or you're going to prescribe these things, you must  
3 communicate in a very frank manner of the potential risks of  
4 these services to your beneficiaries should you prescribe  
5 these things. I don't know if that is required today, but  
6 it seems as if that would be essential as one necessary step  
7 in the shared decision-making realm.

8 MR. HACKBARTH: Okay. Just one last question, and  
9 maybe this will be a rhetorical question, given where we  
10 are.

11 There's been relatively little talk about the  
12 effect of changes of this sort on innovation, which is  
13 actually one of the arguments that you often hear the  
14 manufacturers of new drugs and new devices, et cetera,  
15 raise, and if you adopt a tough policy on this, on pricing  
16 of these new items, that they won't be able to fund  
17 sufficient research and the like.

18 And I was wondering whether in fact there are any  
19 roughly analogous examples in other industries that have  
20 been studied by economists. My instinct as a non-economist  
21 has always been, oh, you'll still get innovation. It's just  
22 that the innovation will be redirected and as opposed to

1 spending a lot of money on sort of "me too" marginal  
2 improvement products and then marketing them heavily, they  
3 may invest their research on things that are really  
4 substantial clinical gains, but that's just my hunch.

5 Presumably, the more advance gains are also more  
6 difficult and more risky, et cetera, and I'm just wondering  
7 whether there are any sort of examples that we can draw from  
8 another industry where the environment has changed and the  
9 R&D process has adjusted to reflect a new, more cost-  
10 conscious environment.

11 So as I said, that can be a --

12 DR. CHERNEW: I'm looking -- I don't know a  
13 particular example like that, but I would say that outside  
14 of health care and just in general, the premise that the  
15 potential to make profit drives innovation is probably  
16 supportable. The notion that you need to make a lot of  
17 money on something that is not very good to fund innovation  
18 and something that is good, I don't think that's exactly the  
19 important thing.

20 I think the key thing is if you do come up with  
21 something good, you have to be able to profit from it.

22 MR. HACKBARTH: Yeah. And so my layman's notion

1 has been that if you can make a big profit from doing  
2 something that really isn't a gain, that actually that  
3 distracts from the sort of innovation we want. It creates  
4 sort of a perverse incentive, "Oh, I can get a lot of -- I  
5 can get rich doing little. Why should I take big chances  
6 and invest in things that are really hard?" And so I think  
7 it may be even counter-productive to the sort of innovation  
8 we want to pay high prices for things that are marginally  
9 beneficial. Just my --

10 DR. SAMITT: I mean, I don't think it's  
11 rhetorical. I think we're probably already seeing that in  
12 the pharmaceutical companies today in that they're -- you  
13 know, they've clearly gotten the message that they can no  
14 longer make "me too" agents, because the industry isn't  
15 going to compensate drugs that have no supplemental  
16 efficacy.

17 So the sense is they're redirecting their research  
18 toward the things that are more likely to be superior in  
19 efficacy or approaching treatment in a somewhat different  
20 way. So I think we're already seeing not a diminution of  
21 innovation but a redirection of innovation, if that makes  
22 sense.

1           MR. HACKBARTH: But if that were in fact the case,  
2 then the argument for doing difficult things -- and these  
3 are difficult things that we're talking about. It's not  
4 just that, oh, it saves taxpayers money, and it's consistent  
5 with stewardship. It's also about shaping the future of  
6 innovation in our industry and getting it focused on doing  
7 the things that are really valuable for patients as opposed  
8 to squandering a lot of money on marginal advancements.

9           Kate and then we will --

10          DR. BAICKER: So I think Mike's general point that  
11 you want people to reap the -- reap profits when they  
12 develop things that are really valuable to people and not  
13 when they don't is clearly fundamentally true and important,  
14 and the problem with the health care sector is that we don't  
15 have any real price signal for a lot of stuff. So normally,  
16 that works because you invent something really valuable, and  
17 people want to pay you extra money for it, and so there's  
18 your incentive.

19          Because we're interfering with that in so many  
20 ways in the purchase of health care and with it -- I can't  
21 think of great examples of really innovative sectors that  
22 have the same kind of strange pricing mechanisms or lack

1    thereof that we have in health care, so it's hard to come up  
2    with another example, but then I get uncomfortable -- so I'm  
3    very much in favor of having financial reward for new life-  
4    saving stuff, whether it's innovative treatment, devices,  
5    drugs, whatever it is, but I get a little nervous when we  
6    start saying we want to direct innovation by further  
7    rejiggering payments.  Rather, I think we want to get out of  
8    the way of subsidizing stuff that's not a particularly high  
9    value and not getting people reap rewards from stuff that is  
10   high value.  To me, that's sort of getting out of the  
11   business of picking winners and losers and letting the value  
12   of the thing that's produced drive its remuneration more  
13   than -- so I think that's what you were getting it.

14           MR. HACKBARTH:  It is.

15           DR. BAICKER:  But towards the end of what you were  
16   saying, it started to sound more like we want you doing  
17   this.  We don't want you doing that.

18           MR. HACKBARTH:  Yeah.  No.  And we do need to move  
19   on.

20           So my notion is basically we don't have a price  
21   signal in this market, and really, this is about  
22   establishing a price signal about what sort of innovation we

1 value. And to the extent that we're just paying basically  
2 whatever is asked, we don't have a functioning market, and  
3 so in addition to protecting taxpayer funds, in part this is  
4 about creating a functioning market for innovation in health  
5 care, and I think that's an aspect of this that really isn't  
6 given sufficient attention, so we can talk more later. We  
7 need to move ahead to get done. Okay.

8 So thank you very much, all of you, including  
9 Joan. Appreciate the good work on this, and so now we'll  
10 move to our last item for today on payment for primary care.

11 DR. MARK MILLER: Before you get going, are you  
12 going to need an extra chair for Joan or what? How is this  
13 going to go?

14 [Laughter.]

15 DR. HAYES: [Off microphone.]

16 DR. MARK MILLER: Got it.

17 [Pause.]

18 DR. SOMERS: Good afternoon. In this session,  
19 Kevin, Katelyn, and I would like to explore with you the  
20 idea of creating a per-beneficiary payment for primary care  
21 practitioners in the fee-for-service Medicare program.

22 Recall at the November meeting, the Commission

1 discussed establishing a per-beneficiary payment for primary  
2 care as a way to support primary care and explicitly pay for  
3 non-face-to-face care coordination. We reviewed the reasons  
4 why the Commission has been concerned about the current  
5 state of support for primary care; namely, that primary care  
6 is essential to delivery system reform, but the current fee  
7 schedule undervalues it relative to specialty care and does  
8 not explicitly pay for non-face-to-face care coordination.

9           Those shortcomings of the fee schedule have  
10 contributed to compensation disparities between primary care  
11 practitioners and specialists, such that average  
12 compensation for some specialties can be more than double  
13 that of primary care practitioners.

14           For example, based on 2010 data, the average  
15 compensation for radiologists was \$460,000, while the  
16 average for primary care was \$207,000. Faced with such  
17 compensation disparities, practitioners may increasingly opt  
18 for specialty practice over primary care practice, exposing  
19 beneficiaries to an increasing risk in the long run of  
20 impaired access to primary care.

21           In response to those concerns, the Commission has  
22 made several recommendations to address the inadequacies of

1 the fee schedule. To rebalance the fee schedule, the  
2 Commission has proposed identifying overpriced services and  
3 pricing them appropriately, replacing the SGR with payment  
4 updates that are higher for primary care than specialty  
5 care, and establishing a primary care bonus funded from non-  
6 primary care services. To advance support for coordinated  
7 care, the Commission recommended establishing a medical home  
8 pilot. Variants of the recommendations for a primary care  
9 bonus and a medical home pilot were established under PPACA.

10 So now we come to today's agenda. In response to  
11 questions at the November meeting, we'd like to provide some  
12 information about the experience with the primary care bonus  
13 program established by PPACA. The program expires at the  
14 end of 2015, so we'd also like to hear the Commission's  
15 views about extending the current program or replacing it  
16 with a per-beneficiary payment for primary care. If the  
17 Commission is interested in a per-beneficiary payment, then  
18 there are design and funding issues that must be explored.

19 The primary care bonus program provides a 10  
20 percent bonus on primary care services furnished by primary  
21 care practitioners. In 2012, bonus payments totaled about 1  
22 percent of fee schedule spending or \$664 million. About

1 200,000 practitioners were eligible for the bonus,  
2 accounting for about 20 percent of practitioners who billed  
3 Medicare in that year. Bonus payments per practitioner  
4 averaged about \$3,400; however, practitioners who provided  
5 more primary care services to a greater number of fee-for-  
6 service Medicare beneficiaries received much more than the  
7 average; for example, the average bonus for those in the top  
8 quartile of the bonus distribution was about \$9,300.

9 To continue to support primary care after the  
10 bonus expires, the primary care bonus program could be  
11 extended. It is administratively simple. Practitioners do  
12 not apply for the bonus. It is made automatically based on  
13 the provider's specialty and claims history, and  
14 practitioners and administrators already have experience  
15 with it. However, it is still based on the fee schedule,  
16 and so it still rewards volume and is not an explicit  
17 payment for non-face-to-face care coordination.

18 Alternatively, to explicitly support non-face-to-  
19 face care coordination and to move away from the volume-  
20 oriented fee schedule, the primary care bonus could be  
21 replaced after it expires with a per-beneficiary payment to  
22 support primary care. Other payers are experimenting with

1 per-beneficiary payments. After briefly describing those  
2 efforts, I'll provide a cursory look at design issues, and  
3 then Kevin will explore different ways a per-beneficiary  
4 payment could be funded.

5           The private sector, Medicaid, and the  
6 demonstration programs of Medicare are making per-  
7 beneficiary payments for primary care throughout the  
8 country. Per-beneficiary payments typically are between \$3  
9 and \$7 per month, although payments can be much higher,  
10 depending on the complexity of the patient and practice  
11 standards achieved. Common practice requirements include  
12 maintaining 24/7 access to health care providers, hiring a  
13 care manager, implementing care coordination processes, and  
14 achieving medical home certification.

15           Katelyn has researched these efforts and would be  
16 happy to discuss them in more detail on question.

17           Now we'll move on to discuss some design issues  
18 and funding options -- oh, wait. Did I skip one? I'm good.  
19 Okay. Sorry.

20           Now we'll move on to discuss some design issues  
21 and funding options for a per-beneficiary payment. Design  
22 issues include how much to pay, how to attribute a

1 beneficiary to a practitioner, and should there be any  
2 practice requirements to be eligible for the payment. All  
3 of those considerations largely depend on the goals of and  
4 available funding for the per-beneficiary payment. For  
5 example, goals could include simply directing more resources  
6 to primary care services or redesigning the delivery of  
7 primary care.

8           Our first design issue to consider is how much to  
9 pay. Obviously, this would in large part depend on  
10 available funding, but to motivate discussion, consider  
11 using the same funding level as the primary care bonus  
12 program.

13           Bonus payments in 2012 totaled about 1 percent of  
14 the fee schedule or \$664 million. Primary care  
15 practitioners who received bonus payments provided primary  
16 care services to about 21 million fee-for-service  
17 beneficiaries. Dividing \$664 million by 21 million  
18 beneficiaries results in about \$31 per beneficiary, dividing  
19 by 12 produces a monthly per-beneficiary payment of about  
20 \$2.60. So given the typical per-beneficiary payment range  
21 of \$3 to \$7 per month, \$2.60 is at the low end but still an  
22 amount that's seen in practice. Also note in the example

1 considered here, beneficiaries would not pay cost sharing.

2           Moving on to our second design issue, beneficiary  
3 attribution. Unlike the service-based primary care bonus, a  
4 per-beneficiary payment necessitates attributing a  
5 beneficiary to a practitioner to ensure that the right  
6 practitioner gets paid and that Medicare does not make  
7 payments to multiple practitioners on behalf of the same  
8 beneficiary. One option is for beneficiaries to provide  
9 written consent as to whom they consider their primary care  
10 practitioner to be. A second option is to attribute  
11 beneficiaries to practitioners who furnished the majority of  
12 their primary care in a year.

13           Requiring written consent of the beneficiary could  
14 encourage a dialogue between beneficiaries and their  
15 practitioners about responsibilities for providing  
16 coordinated patient-centered primary care. However, having  
17 practitioners ask beneficiaries to sign consent forms may  
18 also inadvertently place beneficiaries in awkward situations  
19 in which they feel under pressure to sign.

20           The second option, attributing beneficiaries to  
21 practitioners based on who furnished the majority of their  
22 primary care services in a year, would be simple to

1 administer. Like the primary care bonus, the practitioner  
2 would receive payment automatically, without extra paperwork  
3 requirements, on behalf of practitioners and beneficiaries.  
4 However, in this case, the per-beneficiary payment would  
5 likely have to be paid at year's end, so that the  
6 practitioner who furnished the majority of visits in the  
7 year could be determined.

8           Moving on to our third and last design issue,  
9 should any additional criteria be required of primary care  
10 practitioners to be eligible for per-beneficiary payments?  
11 For example, in return for payment, practices could be  
12 required to improve access by, for example, increasing  
13 office hours or maintaining 24-hour phone coverage. A team-  
14 based approach to primary care could also be encouraged by  
15 requiring a care manager to be on staff or processes that  
16 facilitate care coordination to be in place. Having  
17 practice requirements provides a specific return for the  
18 additional funds directed towards primary care; however,  
19 depending on the size of the payment, additional  
20 requirements could limit practitioner participation.  
21 Finally, requirements would also necessitate some sort of  
22 process to ensure that practices are in compliance. For

1 example, practices could attest to fulfilling requirements  
2 or an independent third party could verify that requirements  
3 are being met.

4 Now I'll turn it over to Kevin to discuss ways in  
5 which a per-beneficiary payment could be funded.

6 DR. HAYES: Given the concerns about support for  
7 primary care and given the Commission's recommendation to  
8 rebalance the fee schedule, funding the per-beneficiary  
9 payment for primary care would require working within the  
10 fee schedule. Where in the fee schedule should the funding  
11 come from? In considering this question, you might use the  
12 eligibility requirements for the primary care bonus as a  
13 framework.

14 Recall that the requirements for receipt of the  
15 bonus include, first, that it's applied to the payments for  
16 a subset of evaluation and management services, such as  
17 office visits. The bonus is available to practitioners in  
18 certain specialties, such as internal medicine family  
19 medicine, and nurse practitioners, and it's available to  
20 those for whom primary care services account for at least 60  
21 percent of total allowed charges.

22 Julie showed that the bonus is equivalent to a

1 monthly per-beneficiary payment of \$2.60. With that level  
2 of funding as an example, one option for funding the primary  
3 care payment is to reduce payments for everything in the fee  
4 schedule, services and practitioners not eligible for the  
5 bonus. This is the option shown on the left side of this  
6 graphic.

7 Funding for the primary care payment would come  
8 from about 90 percent of the fee schedule. It would require  
9 a reduction in payment for those services of 1.1 percent.

10 Another option is to hold all evaluation and  
11 management services harmless, not just those eligible for  
12 the bonus, regardless of specialty and regardless of whether  
13 primary care services account for at least 60 percent of a  
14 practitioner's allowed charges.

15 Going from left to right then on the graphic, this  
16 is the option shown on the right side. In this case,  
17 funding would come from about 75 percent of the fee  
18 schedule. Because the funding would be coming from a  
19 smaller portion than the earlier option, the reduction would  
20 be a bit larger, 1.4 percent.

21 The third option you might wish to consider for  
22 funding the per-beneficiary payment is to fund it through

1 reducing payments for overpriced services. Doing so would  
2 be consistent with a series of recommendations the  
3 Commission has made on identifying and reducing payments for  
4 overpriced services.

5           Most recently, in our October 2011 letter on  
6 repeal of the SGR, the Commission recommended that payment  
7 reductions should achieve an annual numeric goal for each of  
8 5 consecutive years of at least 1 percent of the fee  
9 schedule. If that 1 percent savings were redistributed to  
10 fund a per-beneficiary payment for primary care, the monthly  
11 payment would rise over 5 years from \$2.60 to \$13.

12           Note that this idea of redistributing payments  
13 from primary care services to the primary care -- from  
14 overpriced services to the primary care payment is different  
15 from the proposal in the SGR repeal legislation now being  
16 considered by the Congress. There, the proposal is to have  
17 an annual goal for savings from overpriced services but  
18 equal to at least 0.5 percent of fee schedule spending and  
19 to redistribute those savings in a way that is budget-  
20 neutral.

21           As we will see in a moment, current policy is to  
22 redistribute such savings broadly through, say, a budget

1 neutrality adjustment to the fee schedules conversion  
2 factor.

3 There are reasons to believe that it's feasible to  
4 reduce payments for overpriced services and achieve a level  
5 of funding equal to 1 percent of fee schedule spending.

6 PPACA requires that the Secretary validate the fee  
7 schedules' relative value units, or RVUs, and make  
8 appropriate adjustments. For example, validation must  
9 address inaccuracies in what are known as the "fee schedules  
10 time estimates." The statute defines the work of physicians  
11 and other health professionals as consisting of time and  
12 intensity. That is the amount of time it takes to furnish a  
13 service and the intensity of work effort per unit of time.  
14 There is a time estimate for each service with a work RVU.

15 Studies have shown that the time estimates are  
16 highly inaccurate. Contractors working for CMS and the  
17 Assistant Secretary for Planning and Evaluation within the  
18 Department of Health and Human Services have found that the  
19 time estimates are too high. GAO has found that the fee  
20 schedule does not adequately account for efficiencies that  
21 arise when a physician furnishes multiple services for the  
22 same patient on the same day.

1           The other factor in the statute's definition of  
2 work, intensity, is another potential source of savings.  
3 CMS has been reviewing potentially mis-valued services and  
4 consulting with the AMA Specialty Society Relative Value  
5 Scale Update Committee, also known as the RUC. While over  
6 the course of this initiative, time estimates have gone down  
7 for a number of services, their work RVUs have not gone down  
8 as much. The time estimates decrease by an average of 18  
9 percent, but the work RVUs decrease by an average of 7  
10 percent. The only reason we can think of for why this might  
11 be happening is that the RUC is offsetting some of the  
12 decreases in time by increasing the other work RVU factor,  
13 intensity.

14           Funding the per-beneficiary payment for primary  
15 care would require targeting savings from overpriced  
16 services to the per-beneficiary payment. The statutory  
17 requirement is that changes in the fee schedule's relative  
18 value units must be budget-neutral. Absent a change in  
19 current policy, savings from overpriced services are  
20 redistributed equally across the fee schedule. Underpriced,  
21 accurately priced, and overpriced services all receive the  
22 same budget neutrality adjustment.

1 Under the funding mechanism discussed here, the  
2 budget neutrality policy would be revised, and savings from  
3 overpriced services would be redistributed instead to the  
4 payment for primary care. In addition to providing a  
5 funding source, doing so would help rebalance the fee  
6 schedule.

7 To summarize our presentation, we made two major  
8 points. One, the current 10 percent bonus for primary care  
9 expires at the end of 2015. Two, if such a payment for  
10 primary care is to continue, options we discuss today are to  
11 extend the bonus as it is currently constructed or replace  
12 it with a per-beneficiary payment. If your preference is  
13 the second option, the per-beneficiary payment, we would  
14 appreciate your discussion of two issues, design of the  
15 payment and funding.

16 Thank you.

17 MR. HACKBARTH: Okay. So even more than usual, I  
18 am the one to blame if you don't like this topic. I'm the  
19 instigator behind this, and I wanted just to say why that  
20 is.

21 Why take this up now when there is ongoing -- a  
22 number of medical home demonstration projects underway, some

1 of which include Medicare? And incidentally, many years ago  
2 now, like 2008 or something like that, we were one of those  
3 who recommended that these demonstrations be created.

4           So why now? Why not just wait for the end of the  
5 medical home demonstrations? There are two reasons for  
6 that. First has been alluded to in this presentation, which  
7 is the existing primary care bonus expires at the end of  
8 2015. So it seems that that does create an opportunity or  
9 even a necessity for us to address, well, what after the end  
10 of 2015? Do we want to continue the existing bonus, or do  
11 we want to reconfigure it and do something like this? So  
12 that's one reason.

13           The second reason for me personally -- and people  
14 should feel free to disagree with this -- is that I've  
15 become increasingly concerned about the medical home  
16 demonstrations on a number of different grounds.

17           First of all, I am a little bit worried that the  
18 medical home model has been -- become gold-plated, and that  
19 in order to meet all of the NCQA requirements, et cetera,  
20 there are a lot of bells and whistles that have been added  
21 to it, and I'm hardly expert in this. So again, feel free  
22 to disagree, but my impression is that not all of them have

1 really been validated as adding value, but they add cost,  
2 and so I'm worried that maybe the medical home model has a  
3 real cost disadvantage that it's going to be carrying with  
4 it.

5           Second is that my hunch has long been that what  
6 these demos will ultimately show is that, hey, it works in  
7 some places, and it doesn't work in others. A lot of this  
8 is context-dependent. So you plop a medical home down in  
9 the middle of Group Health Cooperative at Puget Sound, you  
10 get one set of results, or in Geisinger Clinic, you get one  
11 set of results. You plop a medical home down in the midst  
12 of Miami, you may get a different set of results. And so  
13 what we will find from the demonstrations is not a clear  
14 answer, does this work or not to reduce cost and improve  
15 quality, but rather results that are really a function of  
16 the different locations that happened to be put into place.  
17 And I think, ultimately, the results may be equivocal, and  
18 we will still have a core problem, even after the demos  
19 finish.

20           We have too little primary care for the population  
21 that needs to be served, an aging population in Medicare, a  
22 population with more people with insurance covered in

1 general, and so what I've been searching for is ways that we  
2 might be able to address that mismatch between supply and  
3 demand more quickly than counting, putting all of our eggs  
4 into the medical home basket.

5           So how do I think this may fit into that? It's  
6 about changing what qualifies as productivity for payment?  
7 If you're in a fee-for-service payment system, purely fee-  
8 for-service, your productivity is see more patients, more  
9 visits. That's what you get paid for, but in fact, what I  
10 think we need to do is expand the capacity of our existing  
11 primary care practices to care for bigger populations, not  
12 generate more visits with the patients they have, but assume  
13 clinical responsibility for a larger population, and then  
14 bring to bear other resources, like nurse practitioners and  
15 PAs, health educators that expand the capacity of the  
16 practice to be responsible for a bigger population.  
17 Substitute e-mail and telephone visits for face-to-face  
18 visits, which the fee schedule doesn't pay for. So it's  
19 create a payment flow that encourages the redesign of the  
20 primary care model, so that it can care -- we can care for  
21 more patients with the existing resources or small increases  
22 in resources.

1 I'm all in favor, as the President has proposed,  
2 of training more primary care clinicians. That's got a long  
3 tail on it. Even if it were enacted tomorrow, the  
4 clinicians come out of the pipeline way down the road. So  
5 I'm trying to think of things that we can do in the  
6 meantime, or if that doesn't happen at all, that will again  
7 expand the capacity of our existing primary care practices  
8 to care for a broader population, not just pay them more for  
9 generating more face-to-face visits with existing patients.

10 So that's my thinking, and people again should  
11 feel free to challenge that way of thinking about it, but  
12 that's why I bring this issue back.

13 So let's have clarifying questions. Alice, then  
14 Peter, Mary.

15 DR. COOMBS: So I was wondering what the 10  
16 percent reimbursement equalization between Medicare and  
17 Medicaid comes out to be in terms of the total price of that  
18 compared to what's happening here with the bonus.

19 DR. MARK MILLER: Why don't we come back and  
20 answer that, unless somebody has got it right on them,  
21 because I don't think we've talked about this in detail in  
22 getting ready for this. Sorry. We'll have to come back.

1 DR. NAYLOR: I was just wondering, Has there been  
2 any evaluation beyond what you reported of the impact of the  
3 bonus on access, quality, prime outcomes?

4 DR. HAYES: That one, we did talk about  
5 internally, and --

6 DR. NAYLOR: Joan.

7 DR. HAYES: Well, I see she left. So we're adrift  
8 now, and we'll just have to make something up -- no.

9 [Laughter.]

10 DR. HAYES: Well, of course, at some level, Julie  
11 has described some of the impacts, just in terms of funding  
12 and this is the amount of dollars and the number of  
13 practitioners and the number of beneficiaries using,  
14 receiving service from those practitioners.

15 We would -- consistent with the points Glenn was  
16 making, we would like to know more in terms of the impact,  
17 say, on supply as this led to any changes in specialty  
18 choices among new practitioners, but, one, it's early. I  
19 mean, we've got data, as you can see, for the first 2 years  
20 of what would be a 5-year program. So that's one thing.

21 The other is that what we're looking -- you know,  
22 a reason to wonder about the impact of that, of this

1 program, just on supply, it has to do with it was temporary.  
2 I mean, it was known. So we would like to be cautious about  
3 anything like that.

4           The other thing that comes to mind is just the  
5 subtleties that go into the matter of specialty choice.  
6 Those of you who were on the Commission when Karen Borman  
7 was a Commissioner will recall a point she made about maybe  
8 it's a lot of things having to do with specialty choice, but  
9 the ability to develop, say -- one of her favorites was an  
10 ability to develop a skill set, an area of expertise you  
11 could call your own, that that was something in surveys of  
12 new physicians that was identified as a big factor.

13           But putting all that aside, when we turn to what's  
14 happened with the bonus and we connect it to what the  
15 Commission was talking about in June of '08 when  
16 recommending the bonus to begin with, the objective was  
17 fairly specific. It was we have an under-evaluation of  
18 primary care, and so now we've got \$664 million that's going  
19 in to help kind of counterbalance and offset that kind of a  
20 problem, so that's one thing.

21           The other thing that was an objective of the  
22 Commission was to just make an investment in primary care

1 practices to help them prepare for whatever the future is  
2 going to be, whether it's going to be medical homes or just  
3 transformation, however you label it, and so here again,  
4 there has been clearly an investment.

5 So it's kind of there's a lot of nuances to  
6 answering a question like that, and it just kind of depends  
7 upon your frame of reference and what your expectations are  
8 about the thing.

9 MR. BUTLER: So page -- Slide 5.

10 And while you're doing that, just to clarify  
11 Alice's question, I think you're talking about we have the  
12 10 percent bonus on the Medicare patients, and then there's  
13 also paying Medicaid at Medicare rates. That's what you're  
14 asking for.

15 DR. MARK MILLER: Yes. I understood that -- [off  
16 microphone].

17 MR. BUTLER: Which is obviously largely dependent  
18 on how many Medicaid you got, too, in your practice, but  
19 okay.

20 So I'm trying to get a sense of the size of the  
21 dollars that we're kind of giving all in exchange for these  
22 we get, all this flexibility and redesign, and it doesn't

1 look like much, but that will be my round two.

2 [Laughter.]

3 MR. BUTLER: But let me understand it, because the  
4 \$3,400 average here is what the average primary care  
5 physician is getting now, right?

6 DR. SOMERS: [Shakes head yes.]

7 MR. BUTLER: With the 10 percent bonus, right?

8 Okay . So then in the Slide 9, you say this is an  
9 example. So you've got 31 bucks per beneficiary here. Can  
10 I cross-walk that and say that means maybe 110 or 120  
11 charts, Medicare charts? Because if you take that times the  
12 31, you get to the 3,400 bucks, or is this just an example?

13 DR. SOMERS: Well, this is kind of equivalent  
14 dollars. So --

15 MR. BUTLER: That's what I'm trying to say. Is it  
16 the equivalent?

17 DR. SOMERS: Well, one is just that -- yeah, one  
18 is just the number of practitioners, around 200,000 who  
19 received the \$664 million --

20 MR. BUTLER: Right.

21 DR. SOMERS: -- in bonuses. Is that what you're  
22 asking? And so that comes up to about \$3,500.

1           MR. BUTLER: Right. But can I take it a step  
2 further --

3           DR. SOMERS: Okay.

4           MR. BUTLER: -- and say that that \$3,400, those  
5 practitioners, are they now getting on average about 31  
6 bucks per beneficiary?

7           DR. SOMERS: Yeah.

8           MR. BUTLER: Does the math work?

9           DR. SOMERS: That's right.

10          MR. BUTLER: So that's kind of the pool we're  
11 talking about that would be --

12          DR. SOMERS: Except this is a per-beneficiary, and  
13 the other -- if a physician -- in the primary care bonus, if  
14 the physician has a smaller panel of fee-for-service  
15 Medicare beneficiaries, but has them coming in the door a  
16 lot, then --

17          MR. BUTLER: The numbers are different.

18          DR. SOMERS: -- then they're going to generate --

19          MR. BUTLER: Okay.

20          DR. SOMERS: -- more bonus, and in this system,  
21 they would generate a smaller bonus for themselves.

22          MR. BUTLER: Oh, so then -- okay. So then quickly

1 back to 5. I'm almost done. So the 3,400 bucks means that  
2 the average practitioner is getting \$34,000 from Medicare  
3 because 3,400 is 10 percent, right? So the 10 percent --

4 DR. MARK MILLER: [off microphone.] I think you  
5 have to be a little careful [inaudible] instance.

6 MR. BUTLER: It's the 200 that are eligible,  
7 200,000 that are eligible, right?

8 DR. SOMERS: And the 200,000 that are eligible are  
9 getting the \$664 million. So just on average, a physician  
10 is getting \$3,400 and a bonus.

11 MR. HACKBARTH: And Peter's point is that since  
12 they're getting a 20 percent add-on for their primary care  
13 fees, then the total amount of primary care fees on average  
14 per practitioner is \$34,000.

15 MR. BUTLER: Right.

16 DR. MARK MILLER: Oh, I thought you were saying  
17 \$34,000 for all of their services.

18 MR. BUTLER: No, just the Medicare, and that --

19 DR. MARK MILLER: No. No. That's --

20 MR. HACKBARTH: Primary care or Medicare.

21 DR. MARK MILLER: -- the primary care.

22 MR. BUTLER: My last question is that's just the

1 E&M codes associated with primary care. So if they're doing  
2 x-rays and other things like that, that would be additional  
3 income in their practice for -- that's related to primary  
4 care but not the E&M codes themselves.

5 DR. HAYES: Yeah.

6 MR. BUTLER: Okay.

7 DR. HALL: Just a couple of quick clarifications.  
8 The offsets to pay for the added beneficiaries we're going  
9 to talk about, that comes out of the overpriced services by  
10 primary care specialists, so are we just taking out of one  
11 pocket and putting in another? Where is that money coming  
12 from?

13 DR. HAYES: Well, recall that we talked about  
14 three ways to fund this.

15 DR. HALL: Right.

16 DR. HAYES: One way would be to adjust payments  
17 for -- and this would be our chart -- would be on the left  
18 side of this graphic, which would be to take the funds from  
19 90 percent of the fee schedule, okay? So that would be the  
20 services -- right? So that's one -- that's one option.

21 Another would be to say take it from 75 percent of services.

22 But I think what you're asking about is the other

1 option, which is to take it from overpriced services.

2 DR. HALL: Right, exactly.

3 DR. HAYES: And for that, you know, it's a  
4 question of what is found to be an overpriced service. If  
5 through validation of RVUs or however this is accomplished  
6 it's found that, say, some of the services that are  
7 furnished by primary care practitioners are overpriced, then  
8 that indeed would be some of the source of --

9 DR. HALL: But is it across all physicians who are  
10 participating in Medicare or just the --

11 DR. HAYES: Yes

12 DR. HALL: -- primary care doctors?

13 DR. HAYES: No, no. The overpriced services would  
14 be --

15 DR. HALL: Surgeons, psychiatrists, et cetera.

16 DR. HAYES: Yeah.

17 DR. HALL: Nurse practitioners.

18 DR. MARK MILLER: If Bob Berenson --

19 DR. NAYLOR: [off microphone].

20 DR. MARK MILLER: Well, you know, if Bob Berenson  
21 were here, he would argue that -- it is from across all the  
22 fee schedule, like you said. But he would argue that what

1 happens in the fee schedule a lot is that on the procedural  
2 side there's much more opportunity to create new services  
3 and bring new services in, which generally are higher priced  
4 and then don't fall.

5 DR. HALL: Right.

6 DR. MARK MILLER: And so his, you know, contention  
7 and some evidence, although it's being sought out, was when  
8 you identify these things, they will tend to come from that  
9 side of the fee schedule. I think that's what he would say  
10 if he were here.

11 DR. HALL: Okay. And just one other quick  
12 clarification. The model seems to be one practitioner, one  
13 patient. So how do you deal with a group practice of five  
14 physicians, maybe six nurse practitioners, assorted other  
15 people? Which of the five physicians in the practice gets  
16 the credit?

17 MS. SOMERS: I could see it being implemented on a  
18 practice level or billing one --

19 DR. HALL: Okay. So they all have a billing  
20 number, and they would be -- okay. Thank you.

21 MR. HACKBARTH: Other clarifying questions?

22 MR. GEORGE MILLER: Yes. Could you just help me

1 with the patient-centered medical home model, what the  
2 requirements are? The Chairman mentioned the gold plated of  
3 this, and how does it relate to this issue, or is that a  
4 separate issue, the PCMH model?

5 MR. HACKBARTH: NCQA -- and there may be others as  
6 well -- have developed standards for who qualifies as a  
7 medical home.

8 MR. GEORGE MILLER: Right

9 MR. HACKBARTH: And some payers and some  
10 demonstration projects say that in order to get additional  
11 payment, you have to meet standards.

12 MR. GEORGE MILLER: Right.

13 MR. HACKBARTH: And some of them use NCQA  
14 standards, and as I recall, NCQA has various levels of  
15 medical home-ness, and the more the characteristics you  
16 have, the higher payment you qualify for in some of these  
17 demonstration projects or through some private payers.

18 So as was mentioned in the presentation here, even  
19 if you go this route, you would still have to have some  
20 standards on who qualifies for this additional payment.

21 MR. GEORGE MILLER: Right.

22 MR. HACKBARTH: And the only thing I'm suggesting

1 is that you can make those standards really rich, you know,  
2 require electronic medical records and, you know, 24-hour  
3 coverage, and a long list of requirements, or you can make  
4 them leaner, as I understand some of the state Medicaid  
5 programs do for their primary care capitation payments.

6           And so I just mean to say that there's a  
7 continuum, and in thinking about this, I am just urging that  
8 we not just load requirements onto it, all of which generate  
9 costs and may not generate commensurate value, because if  
10 you do that, then you sink the idea economically. It just  
11 becomes too expensive, and the amount of capitation payment  
12 required to make it viable gets huge.

13           MR. GEORGE MILLER: Okay. So my clarifying  
14 question is you're not prescribing a specific set of  
15 parameters for a patient-centered medical home, but the  
16 primary care physicians, you still want to drive them to  
17 patient-centered -- beneficiaries, I mean.

18           MR. HACKBARTH: The paper alludes to potential  
19 requirements that you may have.

20           MR. GEORGE MILLER: Right.

21           MR. HACKBARTH: And so if we decide to go down  
22 this path -- if -- then you would have to say, well, which

1 standards do we wish to adopt.

2 MR. GEORGE MILLER: That's my clarifying question.

3 MR. HACKBARTH: Okay. And I'm just saying let's  
4 be as lean as possible while still getting the job done.

5 MR. GEORGE MILLER: But they still would qualify  
6 for the payments if we do that lean method versus someone  
7 else who may have higher standards, that would -- they would  
8 be separated from that, right?

9 DR. MARK MILLER: And, again, I would just focus  
10 you on the last thing he said and what we tried to say in  
11 the paper. It's a threshold question. You could also do  
12 this without asking for requirements, but that's the  
13 threshold question.

14 MR. HACKBARTH: And just for the record and people  
15 in the audience, I really don't mean to pick on NCQA --  
16 that's not my point -- but rather just to say that  
17 conceptually, you know, there is a continuum here. You can  
18 have lots of requirements, or you can have fewer  
19 requirements. The more you add on, the more expensive the  
20 model becomes.

21 DR. HAYES: Just one point, Glenn. On the  
22 Medicaid bump that Alice was asking about, just as one way

1 to compare this bonus with that, Kate Bloniarz tells us that  
2 the Medicaid bump was scored at \$11 billion over two years,  
3 or somewhere in the area of \$5 billion for one year. So  
4 that gives you some idea of what the spending impact of that  
5 would be relative to this.

6 MR. HACKBARTH: So shall we go to Round 2. Bill  
7 Hall, do you want to lead off?

8 DR. HALL: I don't have too much to say. I'd just  
9 speak very much in favor of this idea to further develop it.  
10 As we all know, we're going to have a huge crisis in primary  
11 care for Medicare patients over the next 20 years, and this  
12 is, I think, a creative solution and one that I think would  
13 be well accepted. And we'll work out the details as we go  
14 along.

15 MR. HACKBARTH: I don't remember which way I went  
16 last time. If you're ready, Bill Gradison, go ahead.

17 MR. GRADISON: To me this is sort of a Sophie's  
18 Choice between continuing something like the current 10  
19 percent add-on and having the requirements which were  
20 discussed, the 24 hours and e-mail and this and that.

21 I'm struck by the signal that might be sent,  
22 however, if the 10 percent is allowed to expire. It may

1 expire. It may be hard to come up with the financing. To  
2 me, this is the next step after SGR in a sense because it's  
3 not financed into the future, but folks come to expect it.

4 To put it another way, the current legislation  
5 which has been proposed with regard to the SGR doesn't do  
6 anything that I understand to shift funds from specialties  
7 into primary care, which was our recommendation. Everybody  
8 gets the 0.5, if I understand it correctly, in each of the  
9 years that it contemplates. So that isn't a really  
10 encouraging signal either in terms of the latest evidence of  
11 the way that people who make those decisions are thinking.

12 I think it's been very instructive -- I think it  
13 is instructive to look at what's happened at the state level  
14 where during the economic downturn, with the large increase  
15 in Medicaid enrollment, and very necessary increase as well,  
16 a lot of states cut back their reimbursement for primary  
17 care by 10 percent. Actually, that's the actual number in a  
18 number of states. And there is great pressure to restore  
19 that amount, and properly so. But I just cite that as  
20 possible evidence of what the expiration of the current  
21 temporary 10 percent might look like.

22 One final note. Adding too many requirements,

1 desirable as they are in the improvement of the structure of  
2 primary care, is just another unintended but, I think, very  
3 effective way to push the doctors into the arms of the  
4 hospitals, because that's how you get your 24-hour coverage,  
5 and that's how you get your online capability, and I just  
6 think we ought to -- I'm not using it as an argument for or  
7 against, but I think it is something to consider as these  
8 potential options of expanding the requirements are  
9 considered.

10 DR. CHRISTIANSON: Okay. Four kind of random  
11 thoughts.

12 One is of the two options, I would not prefer the  
13 bonus option on top of the fee-for-service payment system.  
14 And I think there's also very little we can -- just  
15 anecdotally, probably very little we can learn from the  
16 experience with the bonus option. The physicians I talked  
17 to basically said, you know: We knew it was only going to  
18 be there for a limited number of years; given the financial  
19 pressures on Medicare, we can't believe they're going to  
20 extend it; it has been nice. So in terms of looking for  
21 behavioral changes in that particular experiment, that's  
22 just anecdotally -- I wouldn't be optimistic.

1           I also was happy to see that the goals, as it was  
2 originally listed, do not include attracting more physicians  
3 into primary care. I don't think the dollars here are going  
4 to reduce that payment differential between the high-paid  
5 specialists and primary care, so that alone is going to be a  
6 big motivating factor for physicians moving to primary care.  
7 Maybe on top of some other changes it will have an  
8 incremental effect.

9           In terms of practice requirements, I think a lot  
10 of practices now are going to meet those requirements. A  
11 lot of private insurers have these kinds of programs now.  
12 Whether it's payments to the practice, Medicaid in many  
13 states has this. So there's lots of requirements out there  
14 that the practices are meeting to get these bonus payments  
15 in other programs. So whatever Medicare puts out there, for  
16 a lot of practices I would think they will have already met  
17 -- especially if they're lean requirements, will have  
18 already met those requirements.

19           And then, any, I think thinking about how this  
20 interacts with ACOs and ACO payments and what the benchmarks  
21 will be from year to year for ACO payments, is this layered  
22 onto ACO payments on top of it for the practices within an

1 ACO? What does this mean for the way ACOs will organize  
2 internally?

3 MR. HACKBARTH: Well, as with other payments, ACOs  
4 are just a conduit for Medicare fee-for-service payments.  
5 So to the extent that this was added, ACOs would benefit  
6 from the added payment in proportion to the number of  
7 qualifying primary care clinicians they've got.

8 DR. CHRISTIANSON: Right. So that gets back to  
9 the comment that Bill made, I think.

10 DR. BAICKER: So it seems like we're all on board  
11 with the idea of wanting to make sure that primary care is  
12 adequately paid for, and the choice is a fixed versus a  
13 variable add-on, and all the discussion we've had about, you  
14 know, too much churning people through and it's about these  
15 other kinds of services that aren't currently on the fee  
16 schedule we want to promote, all suggests moving towards  
17 this fixed lump instead of a variable add-on.

18 The only caution is do we think that what we're  
19 short of in primary care is visits, and if we're worried  
20 that there aren't enough visit slots -- and that's a big if  
21 -- then going to the fixed does nothing to add more  
22 appointments for people under the existing system. But if

1 we think that's not the problem, the problem isn't that  
2 there aren't enough appointment slots for beneficiaries with  
3 primary care, the problem is they need stuff that doesn't  
4 currently fit into a billable appointment slot, then this  
5 seems like the right way to go there. But, clearly, it  
6 shifts the incentive away from more appointment slots and  
7 towards having a bigger patient panel. It's a small  
8 incentive relative to everything else. So I don't think we  
9 need to worry so much about, you know, suddenly they're  
10 going to have these enormous panels and people will be  
11 flooding into primary care to sign up people and then never  
12 see them. It seems -- this is small. So it seems like a  
13 move in the right direction, but I think those are the terms  
14 that I'd evaluate the options based on.

15 MR. GEORGE MILLER: I think Kate nicely laid out a  
16 landscape, but personally I would extend it. I've talked to  
17 physicians who are thrilled with the additional payment, and  
18 no one said we wish it would go away or that if it goes  
19 away, it would be okay. I haven't talked to those  
20 physicians.

21 And I think we do need to wrestle with what's the  
22 best way to drive both access -- I think what we're dealing

1 with is access of patients, and depending on what community  
2 you are, whether that's more or less and how to design it in  
3 a way that that physician, she can expand her ability to see  
4 more patients by hiring nurse practitioners or being able to  
5 communicate with patients through a different mechanism  
6 other than face-to-face visits. So I think the add-on will  
7 accomplish that goal. So I support that part of it.

8 MR. HACKBARTH: Before I forget, let me just  
9 mention one thing I saw, and I believe this was in Health  
10 Affairs and was written by a fellow who's the head of  
11 Permanente in Northern California, I believe. He had a  
12 piece on Kaiser Permanente's efforts to use new tools like  
13 e-mail and phone consultations and the like, substitutes for  
14 face-to-face visits. And it was striking that, you know, he  
15 had a graph in there and showed a very significant increase  
16 in non-face-to-face encounters with patients -- e-mail,  
17 telephone, et cetera -- but not a lot of change in the  
18 number of face-to-face, suggesting that at least at this  
19 point in their efforts there wasn't a lot of substitution  
20 going on.

21 And so it was a little bit perplexing to me but --  
22 and not what I would have expected. I don't know if you

1 have experience on that, Scott, that you can share. But  
2 while that was in my head, I just wanted to mention it.

3 Do you have something on that point, Scott?

4 MR. ARMSTRONG: Yeah. We've seen a huge increase  
5 in the volume of these virtual visits, the e-mail visits,  
6 and have not seen the return that we expected on that in a  
7 number of ways. The lower per beneficiary number of in-  
8 person visits would be one of them. And, in fact, what  
9 we're finding is when you really look inside those e-mail  
10 encounters, those strings or whatever they're called, a lot  
11 of it is useless.

12 And so we're trying to look at ways of actually  
13 putting some financial incentive in there to make sure our  
14 patients use e-mail wisely. And we don't know what the  
15 answer is right now, but it goes to your point earlier. We  
16 built, you know, a gold-trimmed medical home, and we get  
17 more than our return on lower costs elsewhere. But we think  
18 we can get a much better return if we kind of brought the  
19 cost structure of our medical home down a little bit, and I  
20 think we can do that without losing the benefit of lower  
21 costs or better outcomes elsewhere.

22 DR. REDBERG: So just to pick up on a few of those

1 points, and then I'll address the questions.

2 I'm not that surprised that visits didn't go down  
3 because, I mean, in my specialty cardiology practice, I  
4 think the e-mail is mostly replacing phone calls, because  
5 people ask little things in e-mail but not things that we  
6 would have come in for, or they're things they wouldn't  
7 because they can't get through on the phone but the e-mail  
8 is a lot easier. And whether it's -- how useful it is I  
9 think would be something that we should all be keeping an  
10 eye on. But it certainly seems like a way to increase  
11 access.

12 And then in terms of the patient-centered medical  
13 home, I would just echo what you said earlier. In my  
14 experience at Journal, JAMA Internal Medicine, we're seeing  
15 a lot of manuscripts evaluating patient-centered medical  
16 homes, suggesting that it's not giving the benefits that one  
17 had hoped from them and certainly deserves -- and they're  
18 not an inexpensive way to try to increase primary care  
19 access. So that leads into I would favor replacing with a  
20 per beneficiary payment, because I think it does offer a  
21 better model of designing improved access and making primary  
22 care more attractive.

1           I guess I don't agree that it would go over that  
2 well, because whenever there are cuts from other -- like the  
3 high overvalued procedures, I still expect we'll hear about  
4 it from the people that use the overvalued procedures. They  
5 rarely say, "Oh, yes, we thought they were overvalued, and  
6 we would like to donate it to primary care."

7           [Laughter.]

8           DR. REDBERG: But it seems like a reasonable  
9 model. It does concern me in the mailing materials that 28  
10 percent of Medicare beneficiaries said they had a small or a  
11 big problem seeking a primary care physician. And so I  
12 think a significant kind of rebalancing and things we can do  
13 to encourage more primary care is going to be very  
14 important. Especially for a lot of the things we're talking  
15 about, you really have to have a strong primary care of  
16 someone who can talk to the patient, explain risks and  
17 benefits of procedures, you know, address outcomes.

18           So for all of those reasons, I favor the per  
19 beneficiary payment model.

20           MR. HACKBARTH: Let me just say a word about the  
21 financing question. You know, if we recommend a higher  
22 payment for primary care, I think it is incumbent on us to

1 say where the money would come from. But even if we didn't  
2 recommend an increase in payment for primary care, I think  
3 we would still recommend changing payment for overpriced  
4 procedures. So it's not like, oh, we wouldn't do that but  
5 for primary care.

6 Now, what happens to the money would be different,  
7 you know, whether it just flows back through the overall fee  
8 schedule as opposed to targeted to primary care would be  
9 different. And there has been some legislation that has  
10 said we'll take it as savings, budget savings, you know,  
11 overpriced procedures. But just to be clear to the people  
12 in the audience --

13 DR. REDBERG: Good point.

14 MR. HACKBARTH: -- we'll propose going after over  
15 priced procedures, whether or not there's a primary care  
16 bonus or not.

17 DR. REDBERG: Good point. And just one more  
18 point. With regard to tying this increase to access and 24-  
19 hour, I do think that would be worthwhile. And I'm also  
20 wondering, I think in one of our other -- you know, in the  
21 quality measures, we had potentially preventable ED visits,  
22 but I think that would be a good thing to tie to primary

1 care practice because a lot of admissions that I see coming  
2 into our hospital are people that were unable to reach their  
3 primary care doctor, then they're coming in with problems  
4 that, if they had been able to talk to their primary care  
5 doctor, you know, a pain they had for five years, they would  
6 not have been admitted in a lot of those cases.

7 DR. CHRISTIANSON: Sorry. I forgot one of my  
8 points I was going to make. We kind of like -- first of  
9 all, we think of the payment as going to physicians, and in  
10 large part it may go to physician organizations,  
11 organizations that employ physicians. So we kind of have  
12 this nice assumption that physicians, however defined, are  
13 going to plow this money back into redefining primary care.  
14 It goes to organizations that employ physicians. They're  
15 going to use the money wherever they think it can generate  
16 the most return. And that may very well be investing in  
17 specialty services that are overpriced but expand their  
18 capacity. So, I mean, just keep that in mind. This is not  
19 like the money is locked into primary care that they get.

20 DR. NERENZ: Like others, I think I would  
21 generally favor some form of per beneficiary payment rather  
22 than the current bonus. One reason -- and I'm happy to be

1 corrected by others here. The current bonus as an incentive  
2 to make primary care more attractive seems like a  
3 homeopathic dose of incentive. If there's a \$200,000 gap in  
4 salary between primary care and, say, procedural  
5 dermatology, now there's a \$197,000 gap, and I'm not sure  
6 that's going to steer too many people into different --

7 MR. HACKBARTH: [off microphone].

8 [Laughter.]

9 DR. NERENZ: I couldn't think of one.

10 DR. REDBERG: It's going [off microphone].

11 DR. BAICKER: Drop in the bucket.

12 [Laughter.]

13 DR. MARK MILLER: Nicely done.

14 DR. NERENZ: Drop in the bucket, thank you. Very  
15 good. Small drop.

16 And, also, you know, the amount, if it's about  
17 reconfiguring practice, you can't hire a nurse coordinator  
18 with it, you can't buy an EMR system with it, you can't --  
19 okay. So I think I would steer in the other direction.

20 In terms of design, on Slide 10 you mentioned a  
21 couple ways --

22 DR. MARK MILLER: Wait. What was the other

1 direction? [off microphone].

2 DR. NERENZ: Fixed payment, yeah, I'm sorry.

3 DR. MARK MILLER: If the dollar amount [off  
4 microphone] is just working with the same pool of dollars --

5 DR. NERENZ: I'd go a larger dollar amount. I'm  
6 going to get to that.

7 DR. MARK MILLER: Okay.

8 DR. NERENZ: I start with observing what is, but  
9 then if we talk -- you list two ways of linking patients to  
10 doctors. It seemed like there was a third way. I'm a  
11 little surprised to see it not listed, and that is, in terms  
12 of a code on a claim form. This is how it's done in a  
13 couple of the new care coordination codes that CMS has  
14 authorized. I think this is how 90-day global payment gets  
15 done. Basically a physician asserts, by submitting a claim,  
16 that this relationship exists. So that's actually the way I  
17 would think to do it most easily, and you wouldn't have to  
18 invent a new concept.

19 DR. MARK MILLER: But the catch is, if I'm  
20 following you here, then the first physician who puts a code  
21 on and submits it gets the PMPM, and what if that's the  
22 physician who provided one visit and this physician provided

1 seven visits. And so we were trying to say if you do this  
2 PMPM, you want to look at what happened and then give the  
3 PMPM to the one who did most of the primary care work.

4 DR. NERENZ: Well, except you can't do it then  
5 until after it's all over.

6 DR. MARK MILLER: That's the downside.

7 DR NERENZ: No, I under -- there are problems  
8 either way.

9 MS. SOMERS: Could I add, I think the CMS care  
10 management code that they're considering, they are also  
11 considering to have beneficiaries sign a written consent  
12 form for the physician to be able to build to the code.

13 DR. NERENZ: I guess you could do it. It just  
14 seems a clunky thing that we don't typically add to other  
15 kinds of payment for other sort of services. But, okay,  
16 minor point.

17 But, anyway, where I was going to take that is  
18 that if we really stretch the concept a bit, you could  
19 actually think of different intensities of code or level of  
20 code that would claim different levels of accountability for  
21 certain defined points, meaning if we think this is about  
22 the range of things that a physician will or can do for a

1 patient, presumably a physician could claim then to be  
2 responsible for things like reduced admissions, reduced ED  
3 visits, whatnot, and then actually enter into a P4P model  
4 sort of model in which there is gain sharing to the extent  
5 that actually occurs.

6           Which then takes me to the other point about, back  
7 to the last slide, Slide 18, about funding. It would seem  
8 to me that the proponents of care coordination as a concept  
9 typically talk about it as something that pays for itself,  
10 that when it gets done, you have fewer admissions, fewer  
11 readmissions, fewer complications, fewer ED visits, fewer  
12 this, fewer that. So if we are going to pay for care  
13 coordination or structures that promote care coordination,  
14 the one way to think about it is it ought to pay for itself.  
15 And then if the data we have in front of us from demos say,  
16 well, no, it actually doesn't, then it seems like we ought  
17 to apply some of the thinking we've had all day long earlier  
18 today about why should we pay for a service that has no  
19 demonstrable value?

20           MR. HACKBARTH: So this is an important point,  
21 David, and the one that I was trying to address at the  
22 outset, and I apologize for not being very articulate.

1           So one way to evaluate this is: Does it reduce,  
2 you know, ED visits or hospital admissions or readmissions?  
3 And, you know, I think that it may -- the evidence may be at  
4 least ambiguous. It may some places and not in other  
5 places. And my concern is that evaluated on that basis,  
6 let's stipulate for the sake of discussion it will fail.  
7 You know, we won't be able to disprove the hypothesis, it  
8 has no effect, the evidence just won't be strong enough.

9           The problem that I'm focused on is how do we get  
10 enough primary care capacity to serve the population, even  
11 if it increases cost, because primary care physicians are  
12 discovering problems that need more care. I think that's  
13 still an important thing to do.

14           And so I'm trying to focus on the supply problem.  
15 How do we expand the capability of primary care practices to  
16 see all the patients who need to be seen? My hunch is that  
17 that will yield some cost and quality dividends, but even if  
18 it doesn't, I think it's good on its own merits,  
19 particularly given what I see as an imbalance between supply  
20 and demand for primary care.

21           DR. SAMITT: So I support a replacement with the  
22 per-beneficiary payment as well. My feeling is the dollars

1 are not nearly enough to matter in what we're trying to  
2 accomplish here. So I think we need to find a bigger pool,  
3 and I'll come back to that.

4 I do think we need to align the bonus with some of  
5 the other things we want to accomplish in the program, which  
6 is to achieve higher quality and efficiency of care, and I  
7 tie it back to several of the other discussions we've had  
8 today. Are there other outcomes or incentives that we would  
9 reward linked to this bonus that would instigate reliance on  
10 decision support or shared decision-making or ER hospital  
11 utilization or readmissions? There are a lot of things that  
12 distinguish a value-based primary care unit from a non-  
13 value-based, and now is our opportunity, especially if we  
14 are going to offer this bonus, to reward those things.

15 I would say in terms of the design features, the  
16 attestation model is the one that I would certainly prefer.

17 And then in terms of funding, I think that,  
18 especially if we need to find a larger pool, we're going to  
19 have a harder and harder time taking it out of  
20 redistribution. So I think we're going to need to find it  
21 out of downstream savings. If we are to invest more -- in  
22 several of the primary care models that I've been fortunate

1 to redesign, we make substantive increases in primary care  
2 income linked to what we expect will be the quality  
3 improvements in downstream utilization savings. You have to  
4 expect that those downstream savings will occur, and that's  
5 in essence what funds your ability to upstream investments  
6 to primary care, so that's how I would think about it.

7 DR. CHERNEW: So I'm a little ambivalent, and let  
8 me explain why. First, it's not because I'm not a supporter  
9 of primary care. I am. It has to do with some of the other  
10 details.

11 But before jumping into the design issues and some  
12 of the specifics, I want to say a lot of aspects of where  
13 we're going in the system actually are relatively supportive  
14 of primary care. So for example, in the ACOs, you get  
15 assigned based on your primary care, who is providing the  
16 primary care, which gives an incentive for the ACO to make  
17 sure they have primary care providers and enough capacity  
18 and to have them treated well.

19 In Medicare Advantage, I think most of the plans I  
20 know worry a lot about primary care. This is sort of an  
21 issue in those other systems, and I agree very much with  
22 your concern that the primary care system outside of that,

1 maybe even inside, is under a lot of threat. So in that  
2 sense, that's sort of the positive about why I sort of share  
3 the motivation.

4 I'll get to my ambivalence in a bit, but if we go  
5 forward, because we're concerned about that, which is  
6 reasonable, first, let me say the way I would do it design-  
7 wise is I support the fixed payment over the bonus approach,  
8 because it gives a little more flexibility, which I  
9 basically like.

10 I also think -- so I would like it if someone had  
11 to be designated as the primary care provider, although I  
12 recognize there's a potential for abuse there. I have two  
13 doctors, and now both of them want me to sign, and I feel so  
14 stressed. And I worry about that, but what I like about  
15 that -- I don't know how Cori's mom -- we could do the  
16 Cori's mom test. She should testify.

17 [Laughter.]

18 DR. CHERNEW: But what I like about that is we  
19 have big attribution problems. I think a world in which  
20 people designate a primary care provider would be helpful  
21 for a whole slew of things that we want to do, and if I  
22 wasn't concerned about the Cori's mom problem, I would

1 definitely go that route. So that requires some thought to  
2 figure out how big that particular problem is.

3 I very much believe we should do this with  
4 relatively few requirements. I think the administrative  
5 cost of the requirements and just overall just dampens the  
6 effectiveness. For the amount of money we're talking about,  
7 it just seems like a much bigger hassle, and if you're going  
8 to do this with the way we're going, I would make it as  
9 attractive as you can to primary care and as least  
10 prescriptive as possible for primary care.

11 And that gets me to the other part, which several  
12 people have said. I'm not sure there's enough money in this  
13 to achieve our goal. So my ambivalence is sort of is what  
14 we're going to get worth the lift. If we put a little bit  
15 of money in, we change to a fixed fee, we do all these other  
16 things, have we really accomplished the basic problem we  
17 have for doing all of that work?

18 The problem I have is -- so then the obvious  
19 solution is, well, we should put more money into it, and  
20 that sort of makes sense to me, but then it runs into the  
21 overall funding, pay for SDR, do we have enough money to put  
22 in there, and so I end up not knowing. I haven't yet seen -

1 - the chapter is very good, but I haven't quite seen the  
2 sweet spot to make sure that there's enough money to get  
3 enough bang to get us where we want and not cause problems  
4 other places.

5 I would say overall, just to finish up with my  
6 design comments, to the extent possible, reducing overpriced  
7 services is my preferred way of dealing with this as opposed  
8 to some of these other general cuts, but that is for a whole  
9 bunch of reasons, as was pointed out very eloquently.  
10 That's a heavy lift to know what's an overpriced service and  
11 how do you set it up, but conceptually, I prefer that,  
12 although these cuts to the other parts of the fee schedule  
13 aren't so big that I don't feel horribly opposed to them if  
14 that's the way that we went. My bigger concern would be if  
15 the alternative was to use those to fix the SGR, I guess I'd  
16 probably take the SGR fix, if that was what was on the  
17 table.

18 DR. MARK MILLER: I know we're running out of  
19 time, but because this has just happened twice, there's not  
20 enough money here, and we don't have to discuss it. I just  
21 want to put it in your head, and we can come back and talk  
22 about this, because this isn't the last time we'll talk

1 about it.

2 But could you put up 15 for just a second?

3 If you followed this path and you extracted this  
4 from the overpriced procedure, note that by year five,  
5 you're talking about \$13. That's a 5X increase in the  
6 amount of money here that's available to this, \$3 billion  
7 type of discussion. Now, that may still not be big enough  
8 for you guys, like still not enough to move stuff, but this  
9 starts to get into 15-, \$16,000 per physician, depending on  
10 what your denominator is.

11 Sorry, Kate. Got over there in your turf.

12 Apologize for that.

13 But there might be some money by year five if you  
14 took that route. Just a thought.

15 The issue with your idea is CBO is going to say I  
16 will not score, because I don't have the evidence, and  
17 you're saying, "But I want to see some more money."

18 DR. CHERNEW: Well, frankly, I would say CBO  
19 doesn't have the evidence, and a lot of the evidence that's  
20 come out lately isn't particularly encouraging on the basic  
21 notion that if we just give you a bonus that we will get a  
22 payback.

1           I think if you lump that with a bunch of other  
2 things, you might, but I think it's quite optimistic to say  
3 we're going to do this, because we're going to get a cost  
4 savings.

5           The part that I found more discouraging from  
6 recent evidence is I would have -- if you just would have  
7 asked me a month ago, I would have said, "Well, I suspect  
8 quality is going to be a lot better, but you're not going to  
9 save a lot of money on the back end." That would have been  
10 my bias.

11           Some things I've seen lately suggest that, you  
12 know, quality is not all that much better either. So I  
13 don't really know how to digest all of that stuff. So I  
14 guess that adds to maybe that's enough money to get  
15 something. I'm skeptical that the solution to not getting  
16 enough quality is, well, we need to put more requirements to  
17 make sure we get it. I see that as a dangerous path.

18           So it leaves me, as I started, somewhere  
19 ambivalent around believing in the Hackbarth premise that we  
20 have to work on primary care and more money and there's a  
21 danger, and worry that some of the things that we're talking  
22 about don't quite get me there, and they have a cost that

1 might distract from other things.

2 MR. HACKBARTH: Just one point on the financing.

3 So you kind of mixed financing. So if you're not doing  
4 things like overpriced procedures, the test is do you save  
5 enough on hospital admissions or EE visits to offset the  
6 fundamental cost of any added payment. If in fact you do  
7 overpriced procedures, then you don't have to finance all of  
8 it out of reduced hospital days, but only a piece of it. It  
9 may meet that test. It may not be fully self-financing, but  
10 it could be partially self-financing, and so you could have  
11 a combined financing package to help support a larger  
12 number.

13 DR. CHERNOW: Right, absolutely.

14 DR. SAMITT: You know, the other thing I would say  
15 is while the numbers start to become more substantive by  
16 year five, I think we also have to remember that the  
17 availability and the interest in primary care has a tail  
18 that follows the change of the economics, and so we're not  
19 going to begin to see -- if we want to see a shift from  
20 specialty to primary care and we start to see that in year  
21 five, we're not going to really see more primary care  
22 physicians available until year eight or year nine, and so

1 that's why it needs to be a bit more substantive a bit  
2 earlier.

3 MR. ARMSTRONG: So I'm not sure I have a lot more  
4 to add to this, but I do think part of what we're debating  
5 here is how much do we really want to accomplish with this  
6 particular policy issue.

7 Glenn, your argument that, well, really all we  
8 want to do is expand the capacity of primary care is I think  
9 one goal, and I think what you're hearing is, well, we want  
10 to do more.

11 My own experience, I know Craig's as well, is that  
12 we justify incremental investments in primary care based on  
13 an expectation we're going to lower cost somewhere else in  
14 our system and get a return on that investment.

15 And as Commissioners, we can't necessarily apply  
16 the logic exactly the same way, and so I think that's part  
17 of what's playing out here.

18 I not surprisingly would replace with some kind of  
19 per-beneficiary payment. I wish for my previous point, it  
20 could be somehow connected to a population outcome or  
21 whatever to just avoid the disincentive of piling on a lot  
22 of beneficiaries without providing them what they need, but

1 then again, you get to the whole point that this isn't that  
2 influential a dollar amount, and so are you really worried  
3 about that.

4           To that point, I wish I could put primary care on  
5 the table and just imagine in the next 3 to 5 years what are  
6 all the different payment policies that are going to affect  
7 primary care, this just being one of them. We mentioned the  
8 ACOs, and there may be others, so kind of net of it all, it  
9 might look very different than just kind of what we're  
10 talking about here, and we may feel better about that.

11           With respect to design issues, I do believe that  
12 there should be an attribution methodology prospectively,  
13 and I know we run into issues with that, but one day, that  
14 should be the case for everybody.

15           And my final point would be with respect to  
16 practice requirements, I am not really that worried about  
17 that. I mean, primary care is expected to comply with all  
18 sorts of requirements, by all sorts of other payers already,  
19 and we ought to just look and see if this is anything more  
20 than what they are already doing and I think be sensitive to  
21 that.

22           The other point I would make is that to the degree

1 we create requirements, we should really be aggressive about  
2 pushing a team-based approach to practice of primary care  
3 and the use of non-physician providers, because there's no  
4 way primary care issues get solved without employing nurse  
5 practitioners and other non-physician providers, and I think  
6 that's in here, but I think it's just worth amplifying.

7 MR. HACKBARTH: On the economics of this, I really  
8 agree completely with the perspective that Craig and Scott  
9 have offered, although if you're in your position, you also  
10 have revenue gains. So if you expand your primary care  
11 capacity and increase the population served, you get more  
12 money coming in on the revenue side, and it doesn't have to  
13 be fully justified through cost savings. It's a combination  
14 of revenue and cost.

15 Medicare, we don't get increased revenue, so we've  
16 got sort of a truncated financial analysis. It's only a  
17 cost saving analysis. So our financial calculation has to  
18 be different than yours.

19 Herb.

20 MR. KUHN: With what Glenn said, it might be hard  
21 for me to make my points, but let me try.

22 [Laughter.]

1 MR. KUHN: But I like that.

2 I look at this as two things. One is, as we set  
3 out in the beginning of the conversation here, a rebalancing  
4 of how we pay for primary care, and the second, of course,  
5 is a payment system that helps redesign the delivery system.

6 So on the rebalancing issue, I think we're all  
7 frustrated that it takes so long to get RVUs revalued as  
8 part of the process. MedPAC has been opining on this for  
9 well over a decade, and we've got a long way to go. If you  
10 fast-forward to a decade from now, I think you could easily  
11 see that MedPAC could be sitting around this table, future  
12 Commissioners having the very same conversation where we are  
13 on that.

14 So having said that, I understand the notion of a  
15 bonus in order to help that rebalancing process to help send  
16 a signal to primary care physicians, that we find them  
17 valuable in the system, and that's what we want to do.

18 The way we've looked at things before, it is  
19 targeted. We know kind of where the money is going, to who  
20 it is going to. I don't know what we're expecting from it.  
21 I think you'd have to almost look at a longitudinal study to  
22 see if it really made a difference, and I think that would

1 be very hard to do as part of the process, but nevertheless,  
2 I think it is what it is, and I think we just -- that's part  
3 of the process.

4           The second thing is that if we really are looking  
5 at redesign of the care system, then we have to think  
6 differently in terms of the medical home and everything that  
7 everybody said before, so I don't need to repeat all that.  
8 But I'm kind of like Craig and Scott and picking up what  
9 Kate's term, a "drop in a bucket here." \$2.60 a month,  
10 you're not going to get a lot of behavior change. You're  
11 not going to get some activity here.

12           I went and looked before I came to this meeting,  
13 kind of some of the requirements or some of the things that  
14 medical homes have to do. So you've got a nurse care  
15 manager. You have the time of the physician. You got the  
16 director for the medical home. You probably have  
17 administrative report, and depending on your population, you  
18 might even have a behavioral health consultant that you have  
19 to engage with. That's a lot of activity to fund out of  
20 \$2.60.

21           So that's just going to be part of the process.  
22 So again, if all we're talking about is rebalancing, I'm

1 fine. I don't have a preference whether you give to the  
2 physician or per patient. It's just a signal to primary  
3 care. We're are as frustrated as you are with the process  
4 to change the RVUs. We believe in you, but if it's going to  
5 get into redesigning the system, I think we really kind of  
6 need to dissect what those different payments -- who they  
7 have to bring into this system, and kind of the expectation  
8 is a part of that.

9 DR. COOMBS: I'm going to ditto you, and I think  
10 this is a great idea. I'd like to "biggie size" it, but --

11 [Laughter.]

12 DR. COOMBS: I know that we are dealing with  
13 restricted revenue.

14 One of the things that I thought about is that you  
15 get this aliquot of funds. What could you do that's  
16 innovative with it? Well, if you have a large pool like  
17 Craig's group or Scott and you have a large pool of  
18 providers, you might do things that are targeted at some  
19 specific subset in your patient population.

20 For instance, Boston Medical Center has actually  
21 Spanish-speaking navigators to make sure that patients are -  
22 - from the time they hit the door, they're -- and contiguous

1 contact with these navigators to get their performances  
2 improved in a certain area. So if you knew already what you  
3 needed to do to get to the next level of better outcomes in  
4 a certain area, this funding might help you with something  
5 like that.

6 The amount of whatever you need to do to improve  
7 your infrastructure, the cost of it, I don't think would  
8 ever -- you'd ever reach any kind of significant level,  
9 because financially, it would be I think nearly impossible  
10 to do some of the creative things that you might like to do.

11 The reason why I brought up the Medicaid issue is  
12 because the Medicaid monies are much greater for a primary  
13 care doctor, and so seeing this at the same time -- so  
14 there's a couple of things that are happening at the same  
15 time. If the SGR fix is one of the issues and we can get  
16 that, that's fantastic, but if the SGR, if we get a 2-month  
17 patch and we continue down the same road, then I don't think  
18 it makes a difference what we do with this right here,  
19 because that's going to be small potatoes in the big  
20 picture. And so I think those are the important things for  
21 the providers.

22 Whether or not it makes a decision whether or not

1 someone stays in internal medicine or retires or is  
2 attracted to primary care, I don't think it would make that  
3 much difference. You have to be mission-minded to be in  
4 this field.

5 MS. UCCELLO: Sop my mother.

6 [Laughter.]

7 MS. UCCELLO: She does realize she's gotten  
8 famous. When I talked to her the other day, she said,  
9 "You've got one of those MedPAC meetings coming up, right?  
10 Do you need my help with anything?"

11 [Laughter.]

12 MS. UCCELLO: I didn't realize the answer to that  
13 question was yes. Maybe next month, I will be sending her  
14 in my place.

15 DR. MARK MILLER: What I remember from the story  
16 is that you didn't understand the letter.

17 [Laughter.]

18 MS. UCCELLO: I understood the letter.

19 DR. MARK MILLER: Oh, okay. My mistake.

20 [Laughter.]

21 MS. UCCELLO: Okay. To the questions at hand, in  
22 theory, I like the per-bene payment based on attributed

1 members with practice requirements, paid for by reducing  
2 payments for overpriced services.

3 But I want to be more sure that the benefits of  
4 this outweigh the burdens. Now, Scott mentioned that, well,  
5 these practices are already meeting these requirements.  
6 Well, if they are, then why would we pay them more for doing  
7 what we want them to do under this? So I'm just -- there  
8 seems to be some disconnects here that I just want to think,  
9 learn about some more.

10 Also, in the mailing materials, I think this is  
11 where Joan would come in. There was some feedback from the  
12 focus groups that the docs were saying that some of the  
13 problems here are arising because of communication issues  
14 between the primary care docs and the specialists. If that  
15 indeed is seen as a problem, I would want some of those  
16 requirements to focus on that issue.

17 I don't know what my mom would say.

18 DR. HOADLEY: So a lot of this ground has been  
19 covered. I was doing some of the same arithmetic that Dave  
20 started and that you all continued, including Mark's point  
21 about year five on this chart actually starts to get closer  
22 to real money, and if you thought year five and you thought

1 about those physicians that are at the higher end of the  
2 use, you could see things if they have a larger panel and if  
3 we do per beneficiary.

4           And I would sort of say the same things Cori did  
5 about sort of where I come down in the concept of all those  
6 things, but what I keep thinking about is what are the  
7 optics of all of this, and it's a lot of what we've been  
8 talking about. Are we in doing this trying to send a signal  
9 to the profession sort of writ large that, yes -- since a  
10 couple people have articulated this -- yes, we value primary  
11 care, we want to make sure it doesn't go down again, we  
12 don't want to let that bonus go away, and we actually think  
13 there's a smarter way to do it in concept, even if -- you  
14 know, are we also sending in fact a sort of individual  
15 physician-level signal? And that's where the small dollars  
16 kind of doesn't seem to pan out very well.

17           I remember from a focus group many years ago, not  
18 so much on -- long before this primary care bonus, but it  
19 was on some of the quality bonuses, and when you asked docs,  
20 "Well, do you sort of know why you're here?" "Well, I see  
21 that I get a bigger check one month or an extra check comes  
22 in. I don't really know what it was for," and I wonder if

1 that's kind of what's -- to what extent that's going on here  
2 too. "Oh, yeah, I did notice there was a little more  
3 money." Now, does a larger practice sort of figure this out  
4 and say, "Okay. We will get more if these things happen,"  
5 and that's, I guess, what you'd be hoping for is that you're  
6 sending a signal, if not both to the profession as a whole  
7 but at least to practices. And if you've got a 10-doctor  
8 practice and you add up all those bonuses and it's at the  
9 higher end, then maybe you actually can hire that navigator  
10 or hire another nurse practitioner. You may not be able to  
11 afford another doctor, but I think that's part of how we  
12 should think of these is where are the optics, and do we  
13 understand what -- in the case of this bonus, what  
14 physicians know about what they're getting, and do they  
15 understand that this bonus, so far for these 5 years has  
16 meant these things, or is it just somehow lost, the kind of  
17 thing their office manager knows about, and they may be  
18 aware of more money?

19 So I'll stop there.

20 DR. NAYLOR: I'm going to ask you all to envision  
21 5 years from now a primary care system in which nurse  
22 practitioners and physician assistants are working in

1 partnerships with patients and families in the public health  
2 system and referring to physicians when they need really  
3 important clinical diagnostic work and very much focused on  
4 downstream outcomes to get to higher values.

5           So I really do think part of the challenge is our  
6 own being able to envision -- re-envision a primary care  
7 system that is based on evidence about what's critically  
8 important.

9           We know how critically important patients and  
10 families are to being viewed as part of the team, forming  
11 partnerships, and we know how important it is that we begin  
12 to figure out how primary care is seen more in the Barbara  
13 Starfield longitudinal, getting to better health, getting to  
14 better individual and population health outcomes, figuring  
15 out when people need better palliative care and not more  
16 acute care, those kinds of decisions. And I think it's  
17 really important for us to be open to explore those  
18 possibilities.

19           So I think this is a very important opportunity.  
20 I think the bonus system is a temporary fix. I think this  
21 represents our opportunity to think about how we could  
22 redefine primary care to achieve the goals of the Medicare

1 program, which his as you describe it here, improving  
2 access, getting quality, and yes, absolutely achieving cost  
3 savings in the way.

4           So I think this is a terrific opportunity. I  
5 don't know whether per- beneficiary or per-month payments  
6 get us there, is the best strategy. I wonder how it gets us  
7 to the population health defined by the population this  
8 primary care practice setting is responsible for, how it  
9 gets to those kinds of outcomes, how it embraces community  
10 health workers and peer support and all the things that we  
11 know now from evidence are a central part of primary care.

12           So I think this is an extraordinarily exciting  
13 time to think about our role in redefining it and thinking  
14 about the incentives that will get us there.

15           MR. BUTLER: So I have three points. One is, one  
16 more time, the Jay Crosson story, a West Coast Commissioner  
17 who couldn't go to sleep because of the time change, and we  
18 were looking at like a half a percent increase in physician  
19 fees. And he was saying, "Okay. If I get a half a percent  
20 a year over 10 years and my costs go up 3 percent a year, I  
21 counted last night falling asleep, I'd have to do like  
22 50,000 office visits myself to come out whole." So it just

1 doesn't work in that.

2           So my second point, though, after I do that  
3 icebreaking story, the --

4           [Laughter.]

5           MR. BUTLER: -- is that the payment -- it's  
6 related, though. The payment is becoming totally -- for  
7 primary care is becoming totally detached from what they're  
8 actually getting paid, because virtually all of them are  
9 getting employed by health systems and multispecialty group  
10 practices. And we've already referenced both downstream  
11 revenue, which increases their value, as well as downstream  
12 expense savings under risk models, which increases their  
13 value and their salaries. And then hospitals themselves, we  
14 don't hire them to make money off them. They are managing  
15 care. So how much we're paying them is becoming not very  
16 much related to Medicare payments, frankly.

17           And now you throw on ACOs, and everybody scrambled  
18 and said I got to have more primary care, so I can have  
19 attributions to the primary care physician, and suddenly,  
20 their salaries are going up, even in the absence of changing  
21 the system. And I think that that's going to continue to  
22 occur.

1           Now, having said that, I would say even as a  
2 health system, if you put the full primary care cap in  
3 there, exclusive of the ancillaries and so forth -- I  
4 realize there is some toxic behavior that can occur, but if  
5 you had not \$30 but \$300 per beneficiary, then as a model of  
6 primary care within our system, I'd have more flexible  
7 dollars to try to say how do I really do Mary's model,  
8 because I got the pool of dollars to kind of now -- really  
9 kind of do whatever it takes to connect and own those  
10 patients, and now I have a real incentive to get them  
11 attesting to my system. And \$2.60 is not going to get me to  
12 a test.

13           So I would go for a bigger capitation around this,  
14 which I think systems would be interested in having as a  
15 flexible pool of dollars, and make it optional, if you want,  
16 so you don't have to force everybody into that, and that's  
17 the way I'd go.

18           MR. HACKBARTH: We will obviously be back to this  
19 one at a future date. Thank you for all your work on this,  
20 and we'll now have our public comment period.

21           And before you start, Sharon, let me just see if  
22 there's anybody else who wants to come to the microphone?

1 Anybody else planning --

2 MS. McILRATH: I don't actually want to.

3 [Laughter.]

4 MR. HACKBARTH: Okay. It's going to be that kind  
5 of a comment, huh?

6 [Laughter.]

7 MR. HACKBARTH: When this light comes back on,  
8 Sharon, that's the end of your time.

9 MS. McILRATH: So I'm Sharon McIlrath, AMA.

10 I feel compelled to get up and make some response  
11 to some of the comments that were made about the highly  
12 inaccurate time data and about the length of time that it is  
13 taking to address misvalued codes. Perhaps I'm overly  
14 sensitive. It sounded as though that were partly another  
15 slap at the RUC.

16 I think you should know that, I mean, when you  
17 first made this recommendation for the target savings in  
18 2011, there was a lot more money on the table than there is  
19 now. The RUC has been working and doing highly  
20 controversial things that have made a lot of money for a lot  
21 of consultants in this town who have been taking a lot of  
22 time from different congressional members and from CMS

1 people because they didn't want the cuts that had been  
2 recommended. So to imply that they haven't been doing  
3 anything I think is unfair.

4           Also, I want to comment on the time data in  
5 particular. The times that -- there's some reference to  
6 times where the values that were recommended by the RUC did  
7 not go down commensurately with the time change, and that is  
8 because in most of those cases, the original time data is  
9 Harvard time data, which there's not time to have a lesson  
10 about how that was all developed. But that time data was  
11 not good. It was, you know, the problems with the Harvard  
12 data was what started the RUC in the first place.

13           So the times, initial times, were just wrong. So  
14 you can't make a comparison of what happened to the work  
15 values and expect that there's going to be some relationship  
16 that's perfect between those two.

17           If you want to have a lot of more, you know,  
18 looking at time -- I've said this before -- you will find  
19 that there are some other places where the time is iffy in  
20 some people's eyes. For instance, for a Level 4 new patient  
21 visit, the time for physician is 24 minutes with the  
22 patient, 40 minutes overall, and there's 53 minutes of

1 nursing time. So there are some people who think that not  
2 all Level 4 visits would live up to that particular thing.

3           So the other piece of it is, though, you can't --  
4 if you're trying to get all the money from all of the other  
5 services except the E&M codes -- and, granted, you're not  
6 looking at the E&M codes for all physicians; it's only for  
7 the ones that are called primary care because of the virtue  
8 of the 60 percent and being the chosen specialties. You  
9 still -- the percentage of all of the spending that is E&M  
10 means that you have to make much larger cuts on those other  
11 services than you would otherwise anticipate, and those  
12 other services, 86 percent of the things have been, you  
13 know, cut in some way as through this -- or will have been;  
14 some of it isn't completed yet -- through this misvalued  
15 code project. So to think that you're going to make big  
16 changes and finance a lot of a primary care per member per  
17 month service is not realistic.

18           In terms of what else, you know, has the RUC done  
19 to try to revalue the situation and actually deliver the  
20 message to primary care that, yes, we think they're  
21 important, they have -- they made recommendations at the  
22 request of CMS for medical home payment. They actually just

1 recommended the resources. CMS put the number on it. But  
2 we figured it would have been about \$80 for a primary care.  
3 So it was gold-plated, but one of the issues that the RUC  
4 has addressed, along with the CPT, when they're talking  
5 about this sort of payment or whether they're talking about  
6 the care coordination codes, is do you try to make it so  
7 that everybody gets a little payment, whether they do  
8 anything or not? Or do you try to target it to the people  
9 who are really doing the treatment of the really difficult  
10 patients and they really are doing a lot of care  
11 coordination and follow-up and using those services that  
12 we're asking them to put in place?

13           The RUC and the CPT, and including all the primary  
14 care doctors that have been involved in that, have opted for  
15 trying to address the high-level patients. That's why --  
16 and that was part of the argument with the original CMS demo  
17 that never happened. But these were the highest complexity  
18 patients that people were going to be paid \$80 for.

19           So one of the issues, one of the tradeoffs is  
20 whether you want to have everybody get something or whether  
21 you want to pay those people who are really treating those -  
22 - the most difficult patients more. So, I mean, just -- and

1 I will issue the invitation again. We would love to have  
2 anybody who wants to come and see a RUC meeting and see what  
3 does really happen.

4 MR. HACKBARTH: Okay. Thank you very much, and we  
5 reconvene tomorrow morning at 8:30.

6 [Whereupon, at 5:36 p.m., the meeting was  
7 recessed, to reconvene at 8:30 a.m. on Friday, March 7,  
8 2014.]

9

10

11

12

13

14

15

16

17

18

19

20

21

22

## MEDICARE PAYMENT ADVISORY COMMISSION

## PUBLIC MEETING

The Horizon Ballroom  
Ronald Reagan Building  
International Trade Center  
1300 Pennsylvania Avenue, N.W.  
Washington, D.C.

Friday, March 7, 2014  
8:31 a.m.

## COMMISSIONERS PRESENT:

GLENN M. HACKBARTH, JD, Chair  
MICHAEL CHERNEW, PhD, Vice Chair  
SCOTT ARMSTRONG, MBA, FACHE  
KATHERINE BAICKER, PhD  
PETER W. BUTLER, MHSA  
John B. CHRISTIANSON, PhD  
ALICE COOMBS, MD  
WILLIS D. GRADISON, MBA  
WILLIAM J. HALL, MD  
JACK HOADLEY, PhD  
HERB B. KUHN  
GEORGE N. MILLER, JR., MHSA  
MARY NAYLOR, PhD, RN, FAAN  
DAVID NERENZ, PhD  
RITA REDBERG, MD, MSc, FACC  
CRAIG SAMITT, MD, MBA  
CORI UCCELLO, FSA, MAAA, MPP

AGENDA	PAGE
Synchronizing Medicare benchmarks across payment models	
- David Glass, Julie Lee, Jeff Stensland	3
Improving risk-adjustment in the Medicare program	
- Dan Zabinski	98
Public comment	148

1 P R O C E E D I N G S [8:31 a.m.]

2 MR. HACKBARTH: Okay, good morning. We have two  
3 sessions today, the first a continuation of our conversation  
4 about synchronizing payment models across, or benchmarks  
5 across payment models -- something like that -- and the  
6 second on risk adjustment in Medicare.

7 So on the benchmark issue, who's leading the way?

8 DR. LEE: Good morning. In recent months, the  
9 Commission has been thinking about the relationship between  
10 different payment models under Medicare, such as ACOs,  
11 Medicare Advantage, and traditional fee-for-service.

12 In November, we began our discussion on  
13 synchronizing Medicare policy across the payment models,  
14 initially focusing on laying out the issues and questions  
15 for the Commission to consider. In today's presentation,  
16 we'll focus on one particular aspect: synchronizing  
17 Medicare benchmarks across the payment models.

18 Before we continue, we thank Katelyn and Scott for  
19 their contributions to today's presentation.

20 So let's begin with a review of our previous  
21 presentation from November. Under the current Medicare  
22 program, there are three payment models: traditional fee-

1 for-service, MA, and ACOs. But payment rules are different  
2 and inconsistent across those models, and as a result,  
3 program payments can be quite different for similar  
4 beneficiaries across the three models.

5 This policy context raised several questions that  
6 the Commission considered back in November.

7 One basic question is: Given that we have  
8 different payment models in the current system, how do they  
9 and should they relate to one another? Is this an equal  
10 relationship? And if not, should it be? Or should it favor  
11 some models over others?

12 In particular, does synchronizing mean financial  
13 neutrality across fee-for-service and other models? And how  
14 should synchronizing Medicare policy address spending  
15 variations within and across areas?

16 These are very broad and abstract questions, and  
17 the Commission discussion in November centered around the  
18 second question of financial neutrality across the three  
19 payment models and specifically on the key importance of  
20 getting the right spending benchmarks.

21 Today's presentation is in four parts.

22 First, we'll briefly review current payment rules

1 for fee-for-service, MA, and ACOs.

2 Second, we'll explore the principle of financial  
3 neutrality, using the spending in traditional fee-for-  
4 service as the benchmark for ACOs and MA.

5 Third, we'll present our analysis of an  
6 illustrative example, where the spending benchmark equals  
7 100 percent of local fee-for-service based on data from the  
8 Pioneer ACOs, fee-for-service, and MA in 2012.

9 And, finally, we'll discuss several additional  
10 issues for the Commission to consider.

11 This slide summarizes the current program rules  
12 for the three models. We'll just highlight a few key  
13 differences here, but there are more detailed descriptions  
14 in your mailing materials.

15 Focusing on the Medicare program's perspective,  
16 traditional fee-for-service and ACOs are similar in that the  
17 program pays both models based on the set Medicare payment  
18 rates by service. The main difference between the two is  
19 that ACOs can get bonus payments or penalty based on  
20 spending and quality targets.

21 By contrast, Medicare pays MA plans risk-adjusted  
22 capitation payments based on what MA plans bid to provide

1 the Medicare benefit and how their bids compare to MA  
2 benchmarks, which are tied to local fee-for-service  
3 spending.

4 Today's presentation focuses on program payments,  
5 so we won't go over beneficiaries' perspective summarized in  
6 the second half of the table. But we just want to mention  
7 that beneficiaries have an analogous set of questions  
8 related to Medicare policy across the payment models.

9 Previously, the Commission has thought about the  
10 principle of financial neutrality in the context of MA  
11 payments. The Commission has long supported private plans  
12 in Medicare because they can be more flexible and innovative  
13 in developing care management techniques than fee-for-  
14 service; and if their payment rates are set appropriately,  
15 they have incentives to be efficient. Therefore, the  
16 Commission has recommended financial neutrality between MA  
17 and fee-for-service and setting MA benchmarks at 100 percent  
18 of fee-for-service.

19 Now David will discuss in more detail what these  
20 spending benchmarks mean and why they are important.

21 MR. GLASS: Given that the payment rules for the  
22 three models are different, as Julie has just explained,

1 what does the benchmark mean for the different models?

2           For MA plans, the benchmark is the upper limit for  
3 Medicare payment to the plan. The actual payment is  
4 determined by the benchmark and the plan's bid for  
5 delivering Part A and Part B services. As the chart shows,  
6 in the first row, if the bid is higher than the benchmark,  
7 the plan is paid the benchmark. The beneficiary is charged  
8 an additional premium above the Part B premium to cover the  
9 difference between the bid and the benchmark, and there are  
10 no additional benefits.

11           If the bid equals the benchmark, the payment is  
12 the benchmark.

13           If the bid is lower than the benchmark, the  
14 payment is the bid plus a share of the difference between  
15 the benchmark and the bid. The share depends on the plan's  
16 quality. The higher the quality, the higher the share.  
17 There is no additional premium, and the beneficiary gets  
18 additional benefits.

19           The motivation is for MA plans to bid low so that  
20 they can offer additional benefits and attract  
21 beneficiaries. Of course, if the benchmarks is greater than  
22 fee-for-service, then payments generally will also be

1 greater than fee-for-service.

2           It is guaranteed that Medicare program payment  
3 will be the benchmark or less because the capitated payment  
4 is set in advance.

5           For ACOs the benchmark is the expected payment for  
6 beneficiaries in the ACO. If spending for beneficiaries  
7 attributed to the ACO is less than the benchmark, the  
8 savings are shared, and total program payment will be lower  
9 than the benchmark.

10           If spending is higher than the benchmark, the ACO  
11 will share part of the loss if it is in a two-sided risk  
12 agreement. If not, the program will bear all the additional  
13 spending, so total program payments will be greater than the  
14 benchmark.

15           The motivation for the ACO is to lower service use  
16 or alter the service mix so that program spending will be  
17 lower and it will share in savings.

18           There is no guarantee, however, that program  
19 spending will be less than or equal to benchmark. Thus,  
20 there is an asymmetry built in because the benchmark means  
21 different things for MA and ACOs.

22           Fee-for-service is what it is. There is currently

1 no benchmark, and the motivation is to offer more services  
2 to increase revenue.

3 DR. MARK MILLER: David, before you go to this  
4 one, there are a couple questions from Commissioners. If  
5 you could just orient them to the X and Y axis.

6 MR. GLASS: Sure. So we want to show you what MA  
7 benchmarks looked like in 2012 because that was the first  
8 performance year for ACOs and the starting point for our  
9 simulation.

10 So what we have done here is we've arrayed the  
11 counties by their fee-for-service spending along the X axis,  
12 so each county has a yellow dot corresponding to it along --  
13 and the counties are arrayed along the X axis, with the  
14 lowest-spending counties on the left there near the Y axis,  
15 and the highest-spending counties to the right. That's the  
16 yellow line. And spending varies a lot, between about \$500  
17 a month in the lowest-spending county all the way over on  
18 the left to about \$1,300 in the highest-spending county over  
19 on the right. So that's \$1,300 per beneficiary per month.

20 Now, if benchmarks were set equal to local fee-  
21 for-service, they would look like that yellow line.

22 As you can see on the left, though, where fee-for-

1 service spending is around \$600 per beneficiary per month,  
2 MA benchmarks, which are the green dots here, are all higher  
3 than fee-for-service spending. That's why the green dots  
4 are above the yellow line, and they're around \$700 to \$800.

5 On the right, where county fee-for-service  
6 spending is higher, in some counties MA benchmarks are lower  
7 than fee-for-service, and those are green dots below the  
8 yellow line -- which I actually can't see from here, but I  
9 assure you there are some there.

10 So this is what it looked like in 2012, but the  
11 picture is changing, so the next slide illustrates what  
12 things will look like in 2017. And here we have simulated  
13 what MA benchmarks would look like in 2017 when the  
14 benchmarks specified in PPACA are fully phased in.

15 So here again the yellow is the county fee-for-  
16 service spending, where the counties are arrayed lowest to  
17 highest spending. And then the green is what the benchmarks  
18 would be for MA, and you can see that they're above the line  
19 to the left and below the line to the right, and that's  
20 because what PPACA does is it separates the counties into  
21 quartiles of fee-for-service spending. And on the left,  
22 where the fee-for-service spending is low, the benchmarks

1 for MA are set at 115 percent of that and then 107.5, 100  
2 percent where the lines coincide, and then 95 percent where  
3 the green line is actually below the county fee-for-service  
4 spending. So that's the setup.

5 So the relatively higher benchmarks in the lower  
6 spending counties are intended to keep plans available in  
7 those counties. The 95 percent counties are intended to  
8 reflect the idea that savings should be available there for  
9 MA plans. Altogether, we project MA benchmarks will be  
10 somewhat above 101 percent of fee-for-service when these are  
11 in effect. That is before any quality bonuses.

12 So, remember, these are not population-weighted  
13 quartiles. They're just how many counties -- just by  
14 counties. And so about 40 percent of beneficiaries actually  
15 live in the highest-spending quartile where benchmarks will  
16 be 95 percent of local fee-for-service.

17 Which brings us to this slide. So the yellow line  
18 is the same as we've seen in the last couple local fee-for-  
19 service spending, and we're just showing where the 32  
20 Pioneer ACOs are along that spectrum, and they tend to be  
21 located in the counties at the higher spending end of the  
22 spectrum, although not in the ultra high locations. And

1 there are clusters in Boston, Southern California, and  
2 Minneapolis.

3 So this should be kept in mind as Jeff walks you  
4 through the simulation. The simulation looks at each of  
5 these ACOs and simulates what happens to spending for their  
6 beneficiaries using different benchmarks. So each ACO is  
7 going to constitute one case in the simulation.

8 DR. STENSLAND: All right. As David mentioned, we  
9 simulated the relative program spending under three payment  
10 models: ACO, fee-for-service, and MA. The unit of analysis  
11 is each ACO. The question is: How does Medicare spending  
12 differs across the three payment models?

13 To answer the question, we simulated spending on  
14 the three models as is shown on this slide.

15 We started by reporting the actual ACO spending in  
16 2012 for the beneficiaries in the 31 ACOs, so we have 31  
17 pools of beneficiaries.

18 We also report simulated fee-for-service spending  
19 if the beneficiaries were not aligned with the ACO, and this  
20 is slightly more than the ACO spending.

21 Then, most interestingly, we simulate what program  
22 spending would have been if the patients had joined an MA

1 plan. We simulate the MA costs under two scenarios:

2 First, we use actual 2012 benchmarks and bids to  
3 simulate spending.

4 Second, we simulate what spending would have been  
5 if benchmarks were set to 100 percent of fee-for-service and  
6 the bids did not change.

7 I will not get into the technical details of how  
8 the estimates were made. All those details are in the  
9 appendix to your mailing materials.

10 This slide highlights the differences in program  
11 spending under the ACO and MA models. Let's start with the  
12 first row, and focus on the last two columns. The row shows  
13 that in 2012, given 2012 benchmarks, the ACO plans were the  
14 low-spending model in 15 markets and MA plans were the low-  
15 spending model in 5 markets.

16 So why were ACOs more likely to cost the program  
17 less than MA plans given the 2012 rules? The primary reason  
18 is that the average MA benchmark in these markets was set  
19 about 10 percent above fee-for-service costs, and some MA  
20 plans bid above fee-for-service costs for the basic A-B  
21 benefit. And even those who below fee-for-service, they  
22 will receive rebate dollars to pay for extra benefits.

1 These extra benefits increase program spending.

2 Now, ACOs were most likely to be the low-cost  
3 option, and this shouldn't be surprising since ACOs reduced  
4 spending slightly below fee-for-service on average and MA  
5 was a little above fee-for-service on average.

6 Next, let's look at the second row, and this is  
7 the second simulation where we asked what would happen if  
8 the MA benchmarks had been set at 100 percent of fee-for-  
9 service. In this simulation we still model a 3 percent  
10 quality bonus on average so the net quality-adjusted  
11 benchmark would be 103 percent of fee-for-service. This  
12 lower benchmark -- down from roughly 110 percent before to  
13 about 103 percent now, this lower benchmark lowers the  
14 program cost of having people in MA plans. MA becomes the  
15 low-cost option in 19 of the 31 cases. ACOs cost less in  
16 seven of the markets. There are also five cases where  
17 expected fee-for-service cost was lower than MA or ACO  
18 expected costs.

19 The bottom line is that even with the lower  
20 benchmarks, one model does not always deliver the lowest  
21 program costs. In other words, costs differ across the  
22 different models. They also differ across individual MA

1 plans.

2 In addition to relative costs differing across  
3 markets, there are also differences in the size of networks,  
4 which beneficiaries may care about; there's differences in  
5 supplemental benefits, differences in care coordination.  
6 And so given all those differences, it's not always clear  
7 when ACOs and when MA plans would provide the most value for  
8 the beneficiary.

9 However, it's important to note that we do not  
10 need to decide which model creates the most value. If the  
11 program sets the benchmarks equal, beneficiaries will be  
12 given an incentive to choose the model which they believe  
13 provides the best value for them. The models can compete  
14 with each other for market share of beneficiaries. Over  
15 time, we would expect ACOs to become better at reducing  
16 costs. They're just in their first year right now. In  
17 addition, we may expect lower bids from MA plans once the  
18 benchmarks are lowered and put pressure on MA to bid lower  
19 to compete relative to fee-for-service.

20 Now, we just talked about the basic benchmark and  
21 setting that equal to fee-for-service, but there are some  
22 key details regarding how the benchmark is set.

1           First, under current MA policy the benchmark can  
2 moves up with a high quality score, but it does not move  
3 down with a low quality score. In contrast, for ACOs the  
4 share of savings cannot move up above 75 percent, but it  
5 does move down if they have a lower quality score.

6           So a question would be how to synchronize the  
7 effect of quality scores on benchmarks in the two models.  
8 One option would be to have a budget-neutral adjustment  
9 where the benchmark goes up 2 percent for high-quality  
10 providers and down 2 percent for low-quality providers for  
11 both ACOs and MA plans. A key issue is that if the quality  
12 adjustments to the benchmarks are not equal, then the  
13 benchmarks aren't truly equal.

14           Second, there's the issue of risk adjustment.  
15 Currently ACO benchmarks are based on historical spending,  
16 but this raises some long-term issues as we discussed in the  
17 paper. One option is to move to a prospective benchmark  
18 using HCC scores and historical county average spending per  
19 beneficiary in the county over the past five years to set  
20 benchmarks in ACOs. This would basically mean we'd be  
21 setting the ACO benchmarks similar to the way we set MA  
22 benchmarks, and the ACO's objective would shift from having

1 to beat their historical experience to having to beat the  
2 average performance in their county.

3 Third, there's the issue of financial  
4 responsibility over time. With Pioneer ACOs, even if the  
5 patient becomes dissatisfied with the ACO physicians and  
6 leaves, the Pioneer ACO is still responsible for the costs  
7 of care for at least one year after the patient stops seeing  
8 ACO physicians. This gives the Pioneer ACO a strong  
9 incentive to keep their most expensive patients satisfied  
10 with timely appointments, care coordination, and  
11 satisfactory referrals to specialists.

12 In contrast, if an MA enrollee becomes  
13 dissatisfied, they can leave at the start of the next year.  
14 The MA plan is not responsible for these costs of the  
15 patients after they leave the plan, and therefore, it has  
16 less of a financial incentive to keep high-cost enrollees  
17 satisfied and enrolled in the MA plans. They may do all  
18 they can to keep them satisfied just for reasons of  
19 professionalism, but they don't have the financial  
20 incentive. And as Dan will discuss in the next session,  
21 beneficiaries who leave MA plans do tend to have higher  
22 costs than their risk score would suggest.

1           Going forward, therefore, we may want to  
2       synchronize the degree of financial responsibility over time  
3       between ACOs and MA plans.

4           So we have talked about synchronizing ACOs and MA  
5       plans, but what about fee-for-service? If fee-for-service  
6       is the common benchmark and fee-for-service is really high  
7       in certain counties, is there a need for a better cost  
8       objective than just fee-for-service? Do we really want a  
9       \$14,000 benchmark for anyone?

10           A related question is how much will ACOs be able  
11       to reduce fee-for-service spending and, thus, fix this  
12       benchmark problem in those high-cost areas. There's the  
13       question, can ACO solve the high fee-for-service spending  
14       problem?

15           Second, there's the question of how do we reward  
16       MA plans for low bids and ACOs for low cost. Currently, MA  
17       plans, when they bid below the benchmark, they get a share  
18       of the savings, which is the difference between the  
19       benchmark and the bid, but they must use those rebate  
20       dollars to fund additional benefits for the beneficiary.  
21       Right now, ACOs receive an unrestricted share of savings.

22           So there is a question of whether we should allow

1 MA plans to also receive an unrestricted share of savings,  
2 just as ACOs do. We would need to also think about how this  
3 would affect beneficiaries and the additional benefits they  
4 currently receive.

5 So this leads to some potential discussion topics,  
6 and the top-line question here is, How should we move toward  
7 common benchmarks for ACOs and MA plans. Second, how should  
8 we have comparable quality adjustments to those benchmarks?  
9 Third, should we move to a common risk adjustment? If so,  
10 should we move towards paying ACOs based on HCC scores  
11 multiplied by the average spending in the county? Finally,  
12 right now Pioneer ACOs have greater longitudinal  
13 responsibility for patients in MA plans. Should MA plans be  
14 penalized if that particular MA plan has a really high share  
15 of high-cost beneficiaries that leave the MA program? And  
16 Dan will discuss this issue further in the next session on  
17 risk adjustment.

18 I will open it up for comments and questions.

19 MR. HACKBARTH: Okay. Thank you very much. Well  
20 done.

21 Let me ask a couple of clarifying questions.

22 Could you put up Slide 9? You said that the 2017 MA

1 benchmarks work out to 101 percent of fee-for-service. Did  
2 I hear that correctly?

3 MR. GLASS: [Off microphone.]

4 MR. HACKBARTH: Is that calculated on a  
5 county-weighted basis, or is that beneficiary-weighted?

6 MR. GLASS: I believe it's beneficiary-weighted.

7 Scott?

8 Yes. Scott nods. It is.

9 MR. HACKBARTH: Okay. Well, if it's  
10 beneficiary-weighted, I should let David explain it.  
11 Scott's question was, What's the difference between  
12 beneficiary weighting and county weighting of this average  
13 calculation?

14 MR. GLASS: Well, it is a beneficiary. I mean, we  
15 take, I would assume all the benchmarks per the -- where the  
16 people are in the MA plan.

17 MR. HACKBARTH: So that --

18 DR. MARK MILLER: Relatively straightforward, does  
19 every county have an equal weight in deciding what that  
20 number is, whether you have 100 bodies in that county or one  
21 body, or whether after you do that, you weight by the number  
22 of people. And so a county that has 100 people counts much

1 more than a county that has one person, and so it's  
2 basically saying it's beneficiary-weighted, and so it's  
3 weighted where most of the people are to drive the 1.1  
4 percent.

5 MR. GLASS: Yeah. The picture here in the  
6 quartiles are county-weighted.

7 DR. MARK MILLER: Right.

8 MR. GLASS: Our calculation of how this would turn  
9 out is beneficiary-weighted --

10 MR. HACKBARTH: And that's what --

11 MR. GLASS: -- because that's what's spending  
12 would be.

13 MR. HACKBARTH: That's what I was trying to  
14 verify.

15 DR. MARK MILLER: Yeah. You implicitly have to do  
16 that, because you're rating each county's status on a line  
17 versus calculating an average.

18 MR. HACKBARTH: And then Slide 12. So in the  
19 second row here, what assumption was made about bidding  
20 behavior in calculating, doing the simulation?

21 DR. STENSLAND: So we assumed the bids stay the  
22 same.

1 MR. HACKBARTH: Stay the same. Okay. Thanks.

2 DR. STENSLAND: If they went down -- and they  
3 would look even better.

4 MR. HACKBARTH: Right.

5 Clarifying questions. Kate and then George and  
6 Craig.

7 DR. BAICKER: My question was on this slide as  
8 well. I thought the simulation was really interesting. I  
9 wasn't sure how to interpret the note in the appendix on  
10 methodology about what you did about risk adjustment. I  
11 interpreted that to mean that you kept the risk the same for  
12 the MA pools across the count, that you normalize that, but  
13 what I was trying to get at, was trying to ask is what share  
14 of this difference might be attributable to differences in  
15 the type of person who is enrolled in each plan, and is that  
16 baked in when you simulate a movement that it might be a  
17 different risk person who is moving in, or is it all  
18 assuming the same spending profile of the people who are  
19 currently enrolled? That was not very well posed. Could  
20 you figure out what I was asking from that?

21 DR. STENSLAND: We track the individual people.  
22 So if we come up with a risk score for each individual, and

1 that will be the risk score then that determines their MA  
2 payment, and their fee-for-service spending would be based  
3 on that individual's fee-for-service spending or their  
4 individual ACO spending.

5 MR. GLASS: The individuals are people in ACOs,  
6 and they each have a risk score attached to them, and that  
7 score is used to figure out the MA payment.

8 DR. MARK MILLER: But I think her question was  
9 does it change from the base case to the simulation case,  
10 and I think the answer to that is no. You assume that the  
11 risk was constant.

12 DR. STENSLAND: No, not exactly.

13 [Laughter.]

14 DR. MARK MILLER: At least we're getting to the  
15 question.

16 DR. STENSLAND: Yeah. Now we're deep in the  
17 weeds. There is an adjustment to the risk score that CMS  
18 makes, and that they assume that when people join the MA  
19 plans that the MA plans make an effort to code them  
20 optimally, to code everything, and that that results in a  
21 higher risk score.

22 So we did assume that -- and I think it's

1 something on the order of 3.1 percent shift in expected risk  
2 score because of that. So we did assume in the simulations  
3 that when somebody shifted to become in an MA plan, their  
4 risk score would go up by 3.1 percent. Is that --

5 DR. BAICKER: And you brought their risk score  
6 with them. So it's --

7 DR. STENSLAND: Correct.

8 DR. BAICKER: -- the simulation is not abstracting  
9 from the fact that right now, the pools of people look  
10 different in the different types of plans. It builds in the  
11 type of person who's moving between plans.

12 DR. STENSLAND: Right. So it would be based on  
13 your -- basically, we would say what is Kate's actual  
14 experience and what was her diagnoses over the past several  
15 years, and then we assume that once you join the MA plan,  
16 they will find a couple more things that you have that  
17 should be coded, and they will code them, which -- and then  
18 the risk --

19 DR. BAICKER: What are those things?

20 DR. CHERNEW: You have to join the MA plan. They  
21 will tell you.

22 [Laughter.]

1           MR. GEORGE MILLER: Yeah. I had a similar  
2 question, but let me deal with my first question, and that  
3 is, if I remember correctly, the MA plans are subsidized.  
4 So is that counted in the calculation comparing the cost of  
5 the subsidy for the MA plan? Is that calculated as we move  
6 -- especially on Slide 9 -- go back to Slide 9. As we move  
7 from -- as we look at 2017, is this subsidy for the MA plan  
8 taken into consideration as we compare these across the  
9 three entities?

10           MR. GLASS: Well, in the sense that the subsidy is  
11 built into the benchmarks, yes, it would be, if that's what  
12 you're asking.

13           MR. HACKBARTH: That's the mechanism by which the  
14 subsidy that you referred to is created, and so this does  
15 reflect the changing subsidy as a result of the Affordable  
16 Care Act.

17           MR. GEORGE MILLER: Over time.

18           MR. HACKBARTH: Over time.

19           MR. GLASS: Yeah. And if you look at the previous  
20 one --

21           MR. GEORGE MILLER: Yeah.

22           MR. GLASS: -- the subsidies are much higher

1 there.

2 DR. MARK MILLER: But I thought -- and I'm not  
3 batting very well today, but I'm going to try again. Go to  
4 the simulation slide. I thought he was asking what's  
5 happening to the subsidy here. Is that what you were  
6 asking, or were you back at the other two?

7 MR. GEORGE MILLER: Well, that was the second part  
8 of my question, what would be happening here, and is the  
9 subsidy in play here, but it was both questions.

10 DR. MARK MILLER: All right. And so what I would  
11 say is when you move from the top line to the bottom line,  
12 what's happening is that subsidy for MA plans in a big way  
13 is being pulled out, if that's what your question is, and  
14 that's why the MAs become more competitive.

15 MR. GEORGE MILLER: Okay. The subsidy would come  
16 out. Okay.

17 DR. MARK MILLER: In this bottom line. I mean,  
18 we're taking in a very general term. It varies by plan and  
19 all the rest --

20 MR. GEORGE MILLER: And this is a simulation, so  
21 yeah.

22 MR. HACKBARTH: Yeah. So what I understand George

1 to be referring to as the subsidy is the level of payment  
2 above Medicare fee-for-service cost --

3 MR. GEORGE MILLER: Right, right.

4 MR. HACKBARTH: -- which today is a significant  
5 number. The Affordable Care Act by 2017 lowers the  
6 benchmarks and thereby reduces the subsidy, as George is  
7 using the term.

8 MR. GEORGE MILLER: Yeah.

9 MR. HACKBARTH: And then this bottom row here  
10 assumes that it goes away altogether with benchmarks set at  
11 equal to Medicare fee-for-service cost, more or less,  
12 because the quality.

13 DR. MARK MILLER: Yeah. Right.

14 MR. GEORGE MILLER: But yet even with that,  
15 traditional fee-for-service is not below the 31 options.

16 MR. HACKBARTH: Well, the fact is --

17 MR. GEORGE MILLER: Just on the five cases. Yeah.

18 MR. HACKBARTH: -- as you reduce the subsidy, the  
19 payment above fee-for-service, Medicare fee-for-service  
20 becomes the lowest cost option in fewer places.

21 MR. GEORGE MILLER: Fewer, right.

22 MR. HACKBARTH: But still in some places is part

1 of what this second row is saying.

2 MR. GEORGE MILLER: Yeah.

3 MR. HACKBARTH: Even if there were no subsidy,  
4 again, setting aside the quality --

5 DR. MARK MILLER: I got it.

6 MR. GEORGE MILLER: Yeah. Setting aside.

7 MR. HACKBARTH: -- Medicare fee-for-service would  
8 be lowest cost in some parts of the country.

9 DR. MARK MILLER: And the reason that the other  
10 one, you know, ACOs and MA come out as a lower cost option,  
11 fee-for-service, is because ACOs are lowering their  
12 expenditures below their fee-for-service or, alternatively,  
13 MA is able to bid below or at -- well, below  
14 fee-for-service.

15 MR. GEORGE MILLER: But does the simulation also  
16 show that historically that has not been the case? And I'm  
17 trying to compare what we know over time versus what you're  
18 simulating here. Would the fee -- excuse me. MA plans  
19 hadn't bid below the fee-for-service prices historically.

20 DR. MARK MILLER: Yes. So historically, what our  
21 analysis has shown -- and this is the stuff that mostly  
22 Scott has -- Scott and Carlos have done -- is back in the

1 day, when the benchmarks were well set, well on average,  
2 well above fee-for-service --

3 MR. GEORGE MILLER: Right.

4 DR. MARK MILLER: -- managed care plans actually  
5 as an average bid above fee-for-service.

6 MR. GEORGE MILLER: Right.

7 DR. MARK MILLER: And then as the benchmarks have  
8 come down, our analysis has shown that managed care plans  
9 have begun to bid below fee-for-service as an average, and  
10 just one little tiny fact, that tends to be the HMO plans --

11 MR. GEORGE MILLER: HMO.

12 DR. MARK MILLER: -- that are driving that, that  
13 bidding process. They tend to bid below fee-for-service.

14 MR. GEORGE MILLER: 95, if I remember correctly.

15 DR. MARK MILLER: I think that's our latest  
16 number.

17 MR. GEORGE MILLER: Yeah, yeah. Okay.

18 And then the second part of my question is similar  
19 to Kate, and I think it's been answered, and that is the  
20 impact of the risk adjustment, but I think I got the answer  
21 the last time.

22 MR. HACKBARTH: Craig, I think is next.

1 DR. SAMITT: So Mark may have helped a bit with  
2 this, but also on this slide, I'm having trouble reconciling  
3 when you take into account the 3 percent quality bonus, why  
4 is such a large percentage of the counties have MA as the  
5 lowest cost option. So is that primarily because the  
6 bidding is below the benchmark, and then the quality bonus  
7 brings it back up again but not quite high enough to the  
8 benchmark? What is the primary -- how does the math work  
9 that ultimately results in MA plans being so low in the  
10 second row?

11 DR. STENSLAND: There's two things going on. You  
12 have low-bidding MA plans. So let's say they bid 95 percent  
13 of fee-for-service, and the benchmark is 103 with the  
14 quality bonus. And so they get some extra benefits of maybe  
15 3 percent, and it moves them up to 98 percent of  
16 fee-for-service. And so there, you're saving money.

17 The other ones are if the MA plan was bidding  
18 above fee-for-service. We then assume that Medicare is not  
19 going to pay that full bid anymore, and beneficiary will  
20 have to pay the extra cost or that they'll move their bid  
21 down to fee-for-service. So there, they are basically, with  
22 the quality bonus, moving down to 103. So with them, you're

1 still losing a little, or they cost a little bit more.  
2 There are some that are bidding lower that save you money,  
3 and on average, in most cases, they tend to cost less than  
4 the other models, because you have enough savings from those  
5 that are bidding below fee-for-service to offset that little  
6 extra they're getting with the quality bonus.

7 MR. HACKBARTH: Clarifying questions. Mike and  
8 then Scott.

9 DR. CHERNEW: So I apologize on this same line of  
10 questioning, but you should take it as a compliment, because  
11 it's really important.

12 And so my first question is you assume that the  
13 unit of analysis is an existing ACO, so the people in the  
14 ACO, but when you get to the point -- I'm just trying to  
15 compare ACO and traditional fee-for-service. I'm trying to  
16 figure out how you could have an assumption where the  
17 traditional fee-for-service would ever be better than the  
18 ACO, and so is that a simulation based on actual results?  
19 Is it a simulation based on simulated results? Because if  
20 you did something simple, because they have the base -- they  
21 have a benchmark, which is kind of what you set up. They're  
22 in fee-for-service. They're enrollees trended forward. The

1 only way they could do worse is if you sort of -- some of  
2 them by chance are for whatever reason are going to do  
3 worse. Did you use their actual performance to get to the  
4 fee-for-service?

5 DR. STENSLAND: It's the expected fee-for-service.  
6 So you could almost see that little "5" down there almost as  
7 random variation --

8 DR. CHERNEW: Yeah.

9 DR. STENSLAND: -- where they were lower than you  
10 would have expected, given their historical spending.

11 DR. CHERNEW: Yeah. So there's some sort of just  
12 -- they're in the ACO. There was just some randoms, and  
13 that's just -- when you say counter the markets where that  
14 was the lowest cost option, that's the kind of markets where  
15 the enrollees in the existing ACOs would have been cheaper  
16 had they stayed in fee-for-service as opposed to some other  
17 simulation. Like the entire market, in that market, if  
18 everyone would have become an ACO, it would have been more  
19 expensive.

20 DR. STENSLAND: All right. So let's say you have  
21 these people, and they were in fee-for-service, and you have  
22 their expected costs. And their expected costs for those

1 five were lower than the ACO cost, meaning the ACO didn't  
2 have lower their cost below their expectation, maybe for  
3 random variation or for whatever reason.

4 DR. CHERNEW: Right.

5 DR. STENSLAND: And those costs in the  
6 fee-for-service were also lower than the MA plan possibly  
7 due to favorable selection or possibly due to the 3 percent  
8 quality.

9 DR. CHERNEW: But that doesn't say anything about  
10 if another organization in those markets joined and became  
11 an ACO. In other words, I guess my question is you're  
12 counting up markets, but your unit of analysis is ACOs.

13 DR. STENSLAND: Right. And we say markets up  
14 there, and that's kind of for simplification, but it is --  
15 the unit of analysis is the ACO.

16 DR. CHERNEW: Right. So it's sort of the number  
17 of markets where the unit of -- where the ACOs would have  
18 been cheaper or not. I understand.

19 DR. STENSLAND: Yeah.

20 DR. CHERNEW: I may have muddled it for everybody  
21 else.

22 DR. STENSLAND: Yes.

1 DR. CHERNEW: But it's now clear to me.

2 [Laughter.]

3 DR. CHERNEW: My next question, just to be clear  
4 -- no, I'm happy, though. I'm egocentric enough that that  
5 matters.

6 DR. STENSLAND: You can tell.

7 DR. CHERNEW: Right.

8 My second question, though, is -- my second  
9 question is when an MA plan bids below the benchmark and a  
10 portion of that goes back to Medicare and a portion is  
11 captured by the beneficiaries, is that portion that goes  
12 back to Medicare counted to make the MA program cheaper, to  
13 lower it, or is it not?

14 DR. STENSLAND: Yes. It makes it cheaper. Think  
15 of it as the dollars going out of the Treasury on net.

16 DR. CHERNEW: On net. That was the key question.

17 MR. HACKBARTH: Scott and then Herb.

18 MR. ARMSTRONG: First, I do want to say I'm really  
19 happy that Mike is happy.

20 [Laughter.]

21 DR. CHERNEW: Thank you. This is such a nice  
22 group.

1 MR. ARMSTRONG: But I'm still trying to get my  
2 head around this, and he definitely confused me.

3 [Laughter.]

4 MR. ARMSTRONG: I'm on Slide 10 for just a moment,  
5 and I think this question is kind of in the neighborhood of  
6 where Mike was going. Are you worried that since the way  
7 you've done -- which it was brilliant. I mean, I think -- I  
8 wish I was smart enough to totally get this, but I think  
9 this is really great. Are you worried at all that given  
10 that the unit of comparison in the modeling are the ACOs,  
11 that so many of the ACO beneficiaries are in the really high  
12 fee-for-service spending markets, and that that would  
13 somehow distort the relative cost in the comparison, or is  
14 that really not something you would be worried about?

15 DR. STENSLAND: I'm not too worried about it, and  
16 I would expect the ACOs to form in markets with high  
17 spending, because that's where they really have some  
18 opportunities to reduce the spending, and you may say that  
19 is probably the markets where we want them the most, because  
20 it's kind of where do we have a problem. It's kind of on  
21 that side of the graphic. So where do we need the ACOs to  
22 try to fix that problem? It's on that side of the graphic.

1 MR. ARMSTRONG: It's also on that side of the  
2 graphic, though, where you would expect the MA bids to be  
3 good relative to the fee-for-service.

4 DR. STENSLAND: Right.

5 MR. ARMSTRONG: So that's why I was just wondering  
6 if because -- well, that's why I -- okay.

7 DR. MARK MILLER: I can help. Go back to the  
8 simulation slide.

9 MR. GLASS: Let me make one point. Now, it's also  
10 where the population is. Remember 40 percent of the  
11 population is on that top quartile over there.

12 DR. MARK MILLER: To the simulation.

13 The other intuition that you might be having is if  
14 ACOs were spread more systematically across the country,  
15 would these results look different? And I think the answer  
16 to that is yes, they would, because if ACOs were in parts of  
17 the -- more likely to be than they are in this analysis in  
18 parts of the country where traditional fee-for-service is  
19 lower, they, like MA, would have a tougher time beating  
20 that. And so I think your intuition is are these results  
21 somewhat dependent on where they happen to be located. The  
22 answer to that is yes, but then the second part of that is -

1 - and if you were trying to beat fee-for-service, where  
2 would you go? They tend to go to the high thing. So I  
3 think that's what was -- you know, you were thinking about.

4 MR. HACKBARTH: Is that on this particular point?

5 DR. STENSLAND: I was just going to say that the  
6 MA plans look a little bit better in these 31 markets than  
7 they do on average across the country, and that is because  
8 these 31 markets tend to be in places where MA tends to bid  
9 a little bit lower relative to the benchmark.

10 MR. GRADISON: I realize that we only have data  
11 for the Pioneers so far, but when would you expect to have a  
12 larger universe of ACOs and be able to update this beyond  
13 the Pioneers?

14 DR. STENSLAND: I don't know. We haven't gotten  
15 through that with CMS yet, so I'm not sure when they'll give  
16 us that data.

17 MR. GRADISON: Thank you.

18 MR. KUHN: Just a quick question on the risk  
19 scoring and the coding intensity initiative, and you talked  
20 about the 3.1 percent that's in there right now for MA.  
21 We're going to move presumably later this year to ICD-10,  
22 and the opportunity for even more coding intensity arises

1 with that. Case-mix indexes will probably start to move.  
2 Do we think -- is there any early indication that that would  
3 change the simulations going from I-9 to I-10 in any way  
4 that would be significant?

5 DR. MARK MILLER: It's acceptable to say we don't  
6 know.

7 [Laughter.]

8 DR. STENSLAND: To the extent that we could come  
9 up with a new estimate that was a right estimate of what the  
10 coding adjustment is, it wouldn't change it at all, if we  
11 had the right coding adjustment. If somehow the coding  
12 changes and we're not really aware where it's going, then it  
13 might change the estimate.

14 DR. COOMBS: So I hate to muddy the waters even  
15 more, but with the ACO fee-for-service, with the simulation  
16 especially, did we look at whether or not -- and the areas  
17 you named were New York, Minnesota, Boston -- at whether or  
18 not the ACOs were hospital, large -- you know, the size of  
19 the ACO, whether it was hospital based or provider based in  
20 the sense of physician, nurse practitioner? Because I think  
21 that really makes a difference, you know, where you have  
22 these large, large groups that are merged with multiple

1 systems versus a large physician-based ACO that has a  
2 different kind of relationship with the hospitals. And, you  
3 know, so not much literature is out on the difference in  
4 terms of cost, but the thought has been that if you have a  
5 hospital base, some of the energy goes into reinvestment in  
6 infrastructure within the framework of hospital-centric  
7 activities.

8 DR. STENSLAND: We only have a sample of 32 of  
9 these Pioneers, and it's not clear that hospital based or  
10 the physician based are doing particularly better or have an  
11 easier time winning bonuses. The places that do have an  
12 easier time winning bonuses tend to be those that had  
13 relatively high spending before the ACO time period started  
14 relative to the average spending in the county. Those that  
15 were kind of the low spenders in their county before they  
16 started tended to have a higher -- more difficulty bringing  
17 their spending down. You know, if you're already low, it's  
18 hard to go lower. If you're high, it's easier to go lower.

19 MS. UCCELLO: So this discussion has helped answer  
20 questions I didn't even know I had. So a quick question on  
21 Slide 13. So this common budget-neutral adjustment, do you  
22 actually mean budget neutral or do you mean symmetrical?

1 Would this be forced to be neutral?

2 DR. STENSLAND: Symmetrical.

3 DR. HOADLEY: So back on Slide 12, I want to make  
4 sure I understand that between row 1 and row 2, the only  
5 thing that you changed is the MA benchmark and everything  
6 else -- all the changes are derived by a change in the MA  
7 benchmark, or is anything else changing?

8 DR. STENSLAND: Yes, just the benchmark.

9 DR. HOADLEY: Okay. And then on Slide 9, what are  
10 the -- you said 40 percent of the people are in that last  
11 bracket with the 95 percent. What's the spread in the other  
12 -- do you know what the spread is on the other three?

13 MR. GLASS: I'm glad you asked that question.

14 There it is. So the --

15 [Laughter.]

16 MR. GLASS: So the ACO beneficiaries are very much  
17 in that fourth -- and there's not much difference between MA  
18 and fee-for-service anymore. And there used to be a  
19 considerable difference. But now the distribution of MA,  
20 fee-for-service is about -- in each of the quartiles is  
21 about the same, though the people are all in --

22 DR. HOADLEY: So the people on -- if I'm reading

1 this --

2 MR. GLASS: People are more in the fourth.

3 DR. HOADLEY: If I'm reading this correctly, the  
4 people in the first three groups are about 20 percent, plus  
5 or minus.

6 MR. GLASS: Right, a little bit.

7 DR. HOADLEY: But in the ACO you've got, what,  
8 about 75 percent in the --

9 MR. GLASS: Right.

10 DR. HOADLEY: Because that was actually -- you  
11 anticipated my last question, which is the ACO population.  
12 So when we're talking about the point a minute ago that you  
13 see on Slide 10 where those ACOs are located --

14 MR. GLASS: Right.

15 DR. HOADLEY: -- we really are talking about a  
16 large percentage of the population in that last quartile.

17 MR. GLASS: Yeah, correct

18 DR. HOADLEY: Okay.

19 MR. HACKBARTH: And these are the Pioneer ACOs.

20 MR. GLASS: Right, that were, you know, applied  
21 and were selected and all that.

22 DR. HOADLEY: And your orange in the Pioneer.

1 MR. GLASS: Yeah.

2 MR. HACKBARTH: Any other clarifying questions?

3 DR. HALL: On this slide, can you refresh my  
4 memory? The ACOs in the areas of the country that seem to  
5 have higher costs, of the successful Pioneers, weren't a lot  
6 of these in major academic medical centers, like the  
7 University of Michigan and places like that? I'm wondering  
8 how much these data are skewed by health care centers that  
9 are not in any way representative of the entire country.  
10 Michigan bailed?

11 DR. STENSLAND: We have a pretty good mix, I  
12 think, even in the Pioneer ACOs. What you don't have is  
13 small rural areas, kind of the onesie, twosie doctors out in  
14 little towns. But you have, you know, mid-sized  
15 communities, big communities, academic medical centers,  
16 IPAs.

17 MR. HACKBARTH: Yeah, and I agree with that. But  
18 in one sense these are by definition atypical organizations  
19 that are Pioneer ACOs.

20 DR. HALL: Right.

21 MR. HACKBARTH: They have as a group relatively  
22 more experience in doing this sort of work. They are

1 willing to accept some risk as a result. And so it is a  
2 cross-section by type, but within those types there is  
3 selection in terms of organizations that have experience.  
4 So the general ACO, non-Pioneer population, would have a  
5 different profile than this group.

6 Any other clarifying questions?

7 DR. REDBERG: I think it's clarifying, but we're  
8 close to Round 2, so if not, you can count it as Round 2.

9 MR. HACKBARTH: My thinking on this topic, given  
10 the nature of the work, is that rather than going around the  
11 table one by one, I think maybe a more free-flowing  
12 conversation is the best way to proceed. So don't --

13 DR. REDBERG: Okay, because mine are a little  
14 different than the economics questions, and I'm happy now.  
15 Mike's happy. But I'm interested -- and we've talked about  
16 some of the features before, so I'm aware of them, but do  
17 you have any feeling or data for what are the most common  
18 techniques that we're seeing in the ACOs or the MAs to help  
19 to reduce costs?

20 MR. GLASS: Well, the ACOs, I think most of them  
21 started with the idea, you know, try to reduce costs for the  
22 high-cost beneficiary, care coordination, case management,

1 that sort of thing. And -- or is it care managers, I guess.  
2 And so they started that way. I think a lot of them are  
3 realizing that post-acute care actually makes a big  
4 difference, and some of them who have beneficiaries -- who  
5 take care of beneficiaries for MA plans as well as for in  
6 the Pioneer discovered that the use of SNFs, for example,  
7 was much higher in the ACO population than it was in the  
8 beneficiaries they do for MA plans.

9 So I think some of them are recognizing that post-  
10 acute-care costs may be a source of variation and something  
11 they need to do something about.

12 DR. STENSLAND: And I think you meant length of  
13 the SNF stay, not the number of episodes. Right?

14 MR. GLASS: Yes. Often they discovered that they  
15 were going to SNFs where the length of stay was -- this year  
16 it was 120 days.

17 DR. REDBERG: It seems like --

18 DR. MARK MILLER: One other strategy when they were  
19 rolling through and kept telling us what they were doing was  
20 there seemed to be a dimension of people saying it's really  
21 about patient engagement and getting that patient well  
22 connected to their primary care physician. And they spoke

1 less about specific places where they were trying to extract  
2 their efficiencies. But if you get it connected, get the  
3 patient connected to the primary care, then things will flow  
4 in the right direction.

5 And then I don't know if you mentioned it, there  
6 was also -- it struck me that some of them at least started  
7 out talking about, well, three admissions, but then had to  
8 kind of expand their scope into what they were looking at.

9 DR. REDBERG: Glenn, I --

10 MR. HACKBARTH: I'm sorry, Rita.

11 DR. REDBERG: Just to follow up on that, because  
12 it seems like following on our conversations yesterday and  
13 others, there's a lot of opportunities for reducing costs  
14 also in reducing inappropriate or wasteful care. But most  
15 providers I think are going to be taking care of patients in  
16 fee-for-service where the incentives would be different than  
17 in ACOs. And I wonder if any of them are addressing those  
18 issues.

19 DR. STENSLAND: I don't have good data on that. I  
20 think as David was saying, they switched from, in essence,  
21 at the beginning -- they haven't all switched, but at the  
22 beginning they often said, "We're going to go where the

1 money is," kind of the Willie Sutton idea, we're going to go  
2 to stop admissions and readmissions, hospitals where the big  
3 money is, and they really weren't that successful at that.  
4 And I think the data you see from the Pioneers is very  
5 similar to the data you see from the alternative quality  
6 contract that they didn't have a material effect on  
7 admissions and readmissions, at least in the first year.  
8 But they had more of their savings on the ambulatory side in  
9 terms of how much ambulatory care you get and where you get  
10 it, you know, whether you're getting it at the hospital or  
11 at a lower-cost site.

12 DR. CHERNEW: So I like this type of analysis a  
13 lot. I think the big challenge is to understand how to  
14 translate the policy questions that you've put up to how  
15 folks would behave, because what's not in this analysis, for  
16 example, is issues of participation decisions from  
17 organizations that aren't yet Pioneers. If you were to  
18 change the benchmark, do all the things on your other slide  
19 with the quality bonuses, not what would that do for the  
20 existing Pioneer if they were to move magically, but what  
21 would that do for participation in the program versus not  
22 participation in the program -- those type of questions.

1 And really I think Peter said this when we were talking  
2 about the shared savings last time we were here, which is,  
3 Do you do that as a path to somewhere else?

4 So what happens is you're not really -- you're  
5 measuring this from a budget perspective, but not  
6 necessarily an underlying cost perspective. So if you  
7 thought that the ACOs eventually would lower costs, even if  
8 the benchmarks were kind of high, we would be sort of on a  
9 good path. The same would be true with the MA plans.

10 So figuring out how to answer your questions, this  
11 is a useful input, but it's almost more important to  
12 understand how organizations that are not yet ACOs would  
13 behave under different benchmark rules and what they would  
14 be able to achieve, and organizations that are not, you  
15 know, MA plans or becoming -- how that would all play out.  
16 And that's particularly hard.

17 And I do think this speaks to some of the numbers,  
18 but it's a little more complicated, obviously, as you know -  
19 - I didn't mean to imply that you didn't -- to understand  
20 what should guide our decisions about the other questions  
21 that you raised.

22 MR. GLASS: Yeah, and I think the dynamics of it

1 become interesting. You know, what happens in subsequent  
2 years as you go on? Because the ACOs, if they are  
3 successful at lowering spending below local fee-for-service,  
4 essentially reduce local fee-for-service because they're  
5 part of fee-for-service.

6 DR. CHERNEW: Right, so that's a separate issue  
7 about how this is constructed, but if you construct your  
8 fee-for-service as the non-ACO portion of fee-for-service,  
9 and if you thought those --

10 MR. GLASS: I don't think you'd want to do that,  
11 because I think you want that dynamic of the benchmark going  
12 down if the ACOs can reduce it, because that will reduce  
13 your MA --

14 DR. CHERNEW: But I just mean how you score this.  
15 So, for example, when you scored your other slide where you  
16 had the number of markets, the fee-for-service column was  
17 not inclusive of the ACOs. I think it was the --

18 MR. GLASS: That's right.

19 DR. CHERNEW: But what I would say is if you  
20 thought there really was an ACO effect, over time everyone  
21 would move out of the fee-for-service column, and the ACO  
22 would always -- ignoring the MA, the ACO would always beat

1 the fee-for-service column because the randomness would  
2 become less important because the ACO effect would grow, if  
3 you wanted to impose that they could do a good job.

4 MR. GLASS: Right, I mean, assuming that --

5 DR. CHERNEW: But you don't know if they can.

6 MR. GLASS: Right, assuming that somehow they can  
7 do that.

8 DR. CHERNEW: So if it turns out if you assume  
9 they're better, they will look better, which is -- which an  
10 economist would do, but most other people wouldn't.

11 [Laughter.]

12 MR. GLASS: And if you change benchmarks, you'll  
13 get different -- could conceivably get different ACOs  
14 entering. Right now people who are extremely efficient in  
15 the past have a very tough time doing better; whereas, if  
16 you change it to the local fee-for-service benchmark, then  
17 the ultra-efficient ACOs would want to join.

18 DR. CHERNEW: And if you move to the HCC model  
19 which you discussed, that's going to penalize a lot of the  
20 existing Pioneers that may have been higher because their  
21 benchmark was the -- their benchmark was their previous  
22 spending. So -- David's looking quizzically.

1           If you use the HCC model to adjust as opposed to  
2 prior fee-for-service spending, many of the existing  
3 Pioneers would get less money, I believe, because I believe  
4 that the -- I believe that their actual spending was higher  
5 than their HCC would have predicted it would be. That's my  
6 guess.

7           MR. GLASS: Okay. I'm not sure why. Jeff, do you  
8 have any --

9           DR. CHERNEW: Because we looked at some data on  
10 that, and that's why. So what that means is you would have  
11 to worry that they would continue to stay in the program.

12           DR. MARK MILLER: Yeah, but you guys are saying  
13 the same thing. He's saying that, yeah, that's correct, but  
14 you might get a different set of actors.

15           DR. CHERNEW: Exactly.

16           DR. MARK MILLER: Right.

17           DR. STENSLAND: And let me just be clear. There's  
18 a spread in the Pioneers, so there are some who do better  
19 under this because their historical spending was high.  
20 There are some who would do much better if you moved to the  
21 HCC model because their spending was lower than the county  
22 average adjusted for HCC.

1           So I don't know, maybe it's like 50-50, something  
2 on that order would be a reasonable approximation of who  
3 would do better under the HCC model.

4           MR. HACKBARTH: Let me see hands of people who  
5 want to get into the discussion. Why don't we just go don  
6 this way? Dave was first, and then I'll get Craig, and  
7 we'll go down.

8           DR. NERENZ: Actually this last minute or so  
9 discussion covered what I was thinking, the points to make,  
10 but maybe let's just make sure I'm getting it clearly. A  
11 fairly straightforward option would be to say that some sort  
12 of regional spending benchmark should be the comparison  
13 essentially for everybody, or at least the ones that are not  
14 fee-for-service. And if you do that, there's going to be  
15 some winners and losers in the ACO world compared to  
16 currently; that is, if you set the regional benchmark as the  
17 target, who will come into this because of potential gain  
18 are the people who now are out because they're very  
19 efficient and they can't figure out how to make savings  
20 relative to where they've been. So they come in.

21           Now, if the people -- so it kind of depends in the  
22 end, who do you want in this ACO environment? Do you want

1 the organizations who are currently very efficient, who may  
2 not be in very much now? And then the reason you want them  
3 in is you want to draw patients to them, have them expand  
4 their pools, have them somehow use the financial gains to  
5 build these programs and attract more people? That's one  
6 path forward.

7 The other path forward is very different. It  
8 says, you know, just keep doing what you're doing, but don't  
9 be an ACO; you're doing fine as you are.

10 The people we want in are the organizations  
11 currently who have the high-cost people, and then we want to  
12 set them against their own historical high cost and reward  
13 them for bringing it down. That's who you want in the ACO  
14 program. But the choice of benchmark is going to take you  
15 down two very different paths.

16 MR. HACKBARTH: And I think that's a very helpful  
17 framing of the issue. So on that first path, where you want  
18 the efficient -- within any given market, you want the  
19 efficient people in. An important part of that sort of  
20 dynamic is, well, we want to shift patients to the highest  
21 performers within a market, and if that begins to happen, it  
22 will evoke a response from the high-cost performers, and

1 they will want to come down; whereas, in the second model,  
2 if you -- where everybody stays where they are and there's  
3 no method of shifting patients, no competitive dynamic, you  
4 may get some results but different results.

5 DR. NERENZ: Of course, the point of shifting of  
6 patients is a whole other dynamic yet. We haven't  
7 established that the lower-cost, efficient providers are  
8 more attractive to patients.

9 MR. HACKBARTH: It is.

10 DR. NERENZ: Maybe they are, maybe they aren't.

11 MR. HACKBARTH: That is absolutely true, and right  
12 now the way the ACO model is constructed, there's no patient  
13 engagement at all. Patients don't choose. They're  
14 assigned. And so that's a very helpful framing.

15 DR. SAMITT: We can move to either slide, I  
16 assume, as opposed to -- I'm going to create a new  
17 discussion line, if I may. On Slide 13, I have some  
18 concerns about -- I'm trying to get my head around the  
19 quality element of this and whether there is a quality  
20 penalty to now mirror a quality bonus, which is in essence I  
21 think what you're asking here. And as I tried to think  
22 about this, I recognize that there is one key distinction

1 here between ACOs and MA plans, which is that the ACOs that  
2 are delivering quality, those quality bonuses in essence are  
3 being delivered directly to providers. In the MA space,  
4 these quality bonuses are primarily being driven to plans.  
5 And so my concern is: How do we assure that there's  
6 alignment around quality at the sub-plan level? We've  
7 talked about that as it relates to MA before. In essence,  
8 what you'd want to assure is that the providers within the  
9 MA plan that are delivering the higher quality get higher  
10 quality bonuses, and the providers that are not would have  
11 quality penalties.

12           Since it's all averaged out in the MA space, it is  
13 conceivable, for example, that an MA plan could get a  
14 quality penalty, but there are subcomponents of that  
15 delivery network that are actually delivering higher  
16 quality, and there are no assurances that they would  
17 actually be preserved or get a reward.

18           So that is the big distinction, as I see it, in  
19 the quality dimension between ACO and MA and would want to  
20 think a little bit more about how the bonuses truly cascade  
21 to those that you want to incent for improved performance.

22           MR. HACKBARTH: Let me try this. So we've got

1 sort of two open lines of discussion here. Let me just see  
2 if there is anybody who wants to pursue one of those two  
3 before we open up still new paths of discussion. So I have  
4 Kate and Cori and Jack.

5 [Inaudible comment off microphone.]

6 MR. HACKBARTH: No. Pursuing one of these two  
7 before we get more ideas on the table.

8 DR. BAICKER: Dave's conversation about where you  
9 set the benchmarks seems important to think about who's  
10 going to be in which pool, and Mike has raised the issue  
11 before that in the long run, if everybody moved out of fee-  
12 for-service and you were left with 20 percent of the  
13 population in fee-for-service and many more in these other  
14 things, then using fee-for-service as a source of benchmarks  
15 becomes more and more problematic. And the noisiness will  
16 get less bad on the ACO side, but worse on the fee-for-  
17 service side, and potentially then move the benchmarks  
18 around in ways that you don't want, and how the risk -- you  
19 know, the risk adjustment conversation that's coming next  
20 seems particularly well placed next to this one. Good, was  
21 that coincidence?

22 DR. MARK MILLER: [off microphone].

1 DR. BAICKER: That would be increasingly important  
2 over time. I'm a little less worried about it now on the  
3 fee-for-service side because we're so far away from small  
4 numbers in fee-for-service that building a strategy, sitting  
5 on the fee-for-service side as the benchmark platform seems  
6 pretty reasonable for the foreseeable future. So one  
7 doesn't want to be shortsighted, but this seems like a 10-  
8 year problem in a good state of the world, and so it seems  
9 like a reasonable place to start. But in the long run,  
10 that's going to more and more challenging, especially if the  
11 risk adjusters can't keep up.

12 MR. HACKBARTH: So, Jon, is it on Kate's specific  
13 point?

14 DR. CHRISTIANSON: Yeah, I do worry about basing  
15 any benchmark strategy on fee-for-service. I think as a  
16 Commission we've said for a long time that we don't think  
17 fee-for-service is a very good way to pay. And both the ACO  
18 strategy and the MA strategy is a fee-for-service-based  
19 strategy.

20 So I think the question that we face is how to  
21 move -- how to establish a benchmark that gets us out of the  
22 fee-for-service world. That's very difficult. We have kind

1 of tried to do it.

2 Mike, did you and Dave want to talk about  
3 something?

4 DR. CHERNEW: David commented earlier, though,  
5 ACOs would be counted as fee-for-service to solve this  
6 problem, I think in the way that it's currently structured.

7 DR. CHRISTIANSON: That's correct.

8 MR. GLASS: And that was my point, that, yeah, if  
9 you move them into ACOs, they still count as fee-for-  
10 service.

11 DR. CHRISTIANSON: Still fee-for-service, exactly.

12 MR. GLASS: So it's a little less of --

13 DR. CHRISTIANSON: Exactly what I was trying to  
14 say, so --

15 DR. CHERNEW: [off microphone] different.

16 DR. CHRISTIANSON: So the question is: What is --  
17 in your last slide, wherever that is uninsured there, so to  
18 me it's not so much how to establish equal benchmarks, but  
19 it's how to establish the right benchmarks. We can -- I  
20 think it's a much easier question to say let's equalize,  
21 let's synchronize, let's equalize, but at what level? And  
22 we sort of deal with that in kind of a way with respect to

1 the updates every year. We say, okay, let's not just look  
2 at margins across the whole field, let's look at efficient  
3 providers. So we've gone to some trouble to identify this  
4 group of efficient providers, and then we don't feel so bad  
5 if we don't give a significant update if it doesn't penalize  
6 efficient providers. So we're sort of making a judgment  
7 here that we're going to move away strictly looking at the  
8 outcomes of a fee-for-service system and we're going to look  
9 at the outcomes for a small percentage of folks and say  
10 that's okay.

11           So we need to sort of think about not just -- and  
12 I think this is a very good exercise. I think it identifies  
13 everything we need to focus on. But I think we need to sort  
14 of think about the next step as a Commission, which is how  
15 do we convince ourselves that in some sense we have the  
16 right benchmarks for an efficient delivery system for  
17 Medicare beneficiaries in a fee-for-service world, and I  
18 don't think we get there by basing the benchmark on fee-for-  
19 service, no matter how we go about doing it.

20           The second thing, Glenn, I want to say is that my  
21 own experience in now the somewhat distant past advising  
22 states on how to set benchmarks for Medicaid managed care

1 plans is that your focus in the last part of the chapter on  
2 the details is everything. So the initial number that you  
3 come up with is negotiated between the states and the  
4 Medicaid plans. But after that sort of the thing that  
5 really gets the attention is what's the trend rate. You  
6 know, how are we going to do trending forward. That makes  
7 all the difference in terms of the profit margins for these  
8 plans when they get into three-year contracts. How are we  
9 going to do risk adjustment?

10 So this all looks like the dirty details, but it  
11 has an enormous effect on what the right payment level --  
12 not the benchmark but the right ultimate payment level is  
13 for these plans.

14 MR. HACKBARTH: So assuming that the decision was  
15 to move to a single benchmark, and Dave's sort of suggesting  
16 that, you know, maybe that has some good effects, but it may  
17 have some undesirable effects in terms of provider  
18 participation, but assuming that the policy decision is a  
19 common benchmark, I can think of at least three conceptual  
20 possibilities for how to derive that number. One would be  
21 to use the fee-for-service cost. A second would be a  
22 competitive bid model of some sort. A third would be to

1 through some mechanism establish a fixed dollar amount and  
2 then index that by, you know, some inflator going forward.  
3 And there are huge, you know, details in any of those to  
4 work out, but I think those are the three basic conceptual  
5 possibilities. And we don't have to try to figure out which  
6 of the three is the right one, but I just --

7 DR. CHRISTIANSON: I think that's the right thing  
8 to put on the table, and, of course, it's different using a  
9 competitive bid model to establish the right price for MA  
10 plans as opposed to the right amount to pay for Medicare  
11 beneficiaries. So, you know, you find an efficient price.  
12 Then what do you do with the fee-for-service Medicare  
13 sector?

14 MR. HACKBARTH: Right.

15 DR. CHRISTIANSON: Well, one possibility is you  
16 project the amount of spending you think will happen going  
17 forward, and then you adjust the fees downward if they don't  
18 -- but that doesn't seem to work very well --

19 MR. HACKBARTH: Yeah.

20 DR. CHRISTIANSON: -- in practice.

21 MR. HACKBARTH: Let's stop short of that  
22 conversation.

1 DR. CHRISTIANSON: But we've done it. I mean,  
2 just to -- we sort of do it in the way that we set rate --  
3 or make rate recommendations, because we identify this sub-  
4 group of efficient providers --

5 MR. HACKBARTH: Right

6 DR. CHRISTIANSON: -- and we sort of back-door  
7 this thing, so we don't really do --

8 MR. HACKBARTH: Yeah. Okay. So I have Cori and  
9 Jack; still we're talking about either the Dave thread or  
10 the Craig thread, and Peter also.

11 MS. UCCELLO: So this is related to the Dave  
12 thread, but really on a more basic level. There are some  
13 areas that are low fee-for-service spending areas, and in  
14 the past, you know, in order -- because there were concerns  
15 that some of these areas did not have these MA plans or  
16 others, that's why the benchmarks became higher than fee-  
17 for-service. And my question is: In these lower fee-for-  
18 service areas, are the providers there already doing the  
19 things that we think we like about the MA kinds of plans?  
20 Are they already doing the things that we want them and need  
21 so we don't care as much about these other plans coming in?

22 MR. HACKBARTH: There was a question there.

1 Anybody want to react to --

2 MR. GLASS: Well, I think, you know, in some  
3 places where it turns out it's low service use, so it's not  
4 just a factor of, you know, how much it costs there, but  
5 it's actually low service use, we'd say, yeah, that seems to  
6 be correct, that's an efficient place, that fee-for-service  
7 is organized efficiently, and it's not broken.

8 MS. UCCELLO: Right. So I just think we need to  
9 kind of keep that in mind when we're thinking about how to  
10 array these, and just -- because there are some thoughts  
11 that -- not necessarily by us but by others, who say, well,  
12 these places, our beneficiaries in these areas don't have  
13 access to these plans. And the question is: Well, do they  
14 need them to get care that we think is appropriate and well  
15 managed?

16 MR. HACKBARTH: Yeah. I think you've nicely  
17 framed an issue, one that's I think been fundamental to this  
18 whole debate about Medicare Advantage. I'm not going to go  
19 on. We've got other people in line.

20 DR. SAMITT: Can I just clarify one?

21 So are you asking, Cori, in the regions that have  
22 already low fee-for-service spending, why would we set an MA

1 benchmark that is higher than that? So what is the need for  
2 an incentive to bring MA plans to that region if you already  
3 have efficient fee-for-service providers?

4 MS. UCCELLO: Yes.

5 MR. HACKBARTH: Why would you pay people to go  
6 into a higher cost option, some might ask.

7 [Laughter.]

8 MR. HACKBARTH: Jack and then Peter.

9 DR. HOADLEY: Yeah. I mean, just on this last  
10 point, clearly, I mean, it seems like it has been  
11 historically an attempt to make sure there's access to these  
12 plans and availability, but of course, you also have to ask  
13 what -- really understand why these are lower spending  
14 areas. Are there access issues? Is there underservice  
15 going on? I mean, that's always got to be a question too.  
16 That wasn't where I was going to go otherwise.

17 I did want to pick up on Craig's thread. The  
18 thing, I was kind of intrigued by this notion of a more  
19 symmetric kind of quality adjustment, but I'm actually quite  
20 taken by Craig's comment on how do you really think about  
21 the way the quality -- the existing quality system works on  
22 the MA side. And we've talked about this at some point in

1 the past, the fact that the star ratings are based on kind  
2 of almost arbitrary sort of unit of analysis, because they  
3 are based on contracts, not necessarily all the plans for a  
4 given sponsor, but certainly not down below that, any kind  
5 of unit, I mean, you're almost going inside of the plan, but  
6 a plan sometimes offers multiple kinds of products under  
7 their same contract, and they're all scored the same by  
8 definition. And so there's definitely some issues there,  
9 and if we want to go into this more refining kind of sense  
10 of how we use the stars, it may be also time to look at that  
11 question. So I think that's -- I mean, that brings back an  
12 old issue, but I think that's worth thinking about.

13           On the other thread, I keep trying to think about  
14 -- and maybe we have the luxury that it seems unlikely that  
15 anybody is going to make legislative -- going to make  
16 dramatic changes in all this benchmark system in the short  
17 term. So it's not like we're actually legislating a change  
18 that might go into effect next year or something, because it  
19 does seem like there's a lot of open questions, both  
20 conceptually that we've been talking about but also data  
21 questions.

22           So the analysis is looking -- and people made

1 these points -- is looking at just the Pioneer ACOs. It  
2 really would help to be able to look at the broader array  
3 and not just be analyzing that mostly in that one quartile  
4 of what's going on. It would certainly be good to have more  
5 than a single year or so. What did we have? One year's  
6 experience we're looking at the ACOs? It would certainly be  
7 good to know and if we're already seeing since that they're  
8 evolving and what they're doing before. It seems like  
9 before we want to really think about changes in benchmarks,  
10 make sure we understand the dynamics more fully.

11 And the MA side is the same thing. We're still in  
12 the midst of a transition to a new system. Do we want to  
13 abandon that system, change it drastically, before we  
14 completely understood? So I think it's really good that  
15 we're thinking about it, and since it's not likely Congress  
16 is going to jump in and change this next month, we have the  
17 luxury to be able to think it through and then get another  
18 round of data and then continue to think it through. We'd  
19 be ready to ask the right questions as more data come in.

20 MR. HACKBARTH: I agree, Jack. The way I think of  
21 this conversation is we aren't trying to work towards a  
22 recommendation to change the benchmarks next year for the

1 bidding process, et cetera. The way I conceive of this is  
2 that we're trying to look down the road a bit and say what's  
3 the ultimate destination or at least the next destination  
4 that we want to arrive at 5 to 6 or 8 years or 10 years down  
5 the road and then use that beacon, if you will, as a way to  
6 start thinking about current policy.

7           So in the case of MA, we're on a track established  
8 by the Affordable Care Act, but there is enormous debate  
9 about whether that's even pointing in the right direction or  
10 not. So if we can say this is a way to think about the  
11 ultimate destination, that's a way of evaluating whether the  
12 Affordable Care Act changes of MA are in fact on the right  
13 track or the wrong track.

14           DR. HOADLEY: I think that's all the right way to  
15 think about it, and at times, as we have this conversation,  
16 it sometimes feels like we're trying to like get to the  
17 exact answer, and it's sort of the luxury of not having to  
18 get all the way there. We have to understand the tracks,  
19 understand the trends, and then be prepared to think about  
20 how else we do analysis to get us further.

21           MR. HACKBARTH: Okay. I want to get Peter in, and  
22 then I have several people on this side.

1           MR. BUTLER: Slide 9. So I showed self-discipline  
2 in round one and jumping onto Dave's thread. Hopefully,  
3 this is the appropriate -- I've got to grab onto some thread  
4 here.

5           [Laughter.]

6           MR. BUTLER: Slide 8, I think it is.

7           Okay. So my perception is that people sign up for  
8 MA plans not so much because I have a trusted agent that's  
9 going to coordinate my care for me and take care of me when  
10 I'm sick. It's more because you're getting more benefits  
11 than you are out of the fee-for-service plan, and my  
12 question, which I think relates to the benchmarking, is that  
13 if you were to -- we have 27 percent or whatever of people  
14 are picking MA plans. If you were to show the percentage of  
15 people that are in MA plans across these counties, would you  
16 show a lot higher percentage on the left-hand side? And it  
17 would decrease as you would go to the right, because on the  
18 left-hand side, the returning, those benchmark dollars in  
19 extra benefits; therefore, the left-hand side is more  
20 attractive than the right-hand side? And if that were the  
21 case, that would say a lot about un-level playing field.

22           MR. GLASS: Actually, I think this --

1           MR. BUTLER:  And maybe I'm looking at it wrong,  
2  but this is a question more than -- and I think the fee-for-  
3  service benchmark does become very relevant.

4           DR. MARK MILLER:  A couple things here.  I want  
5  some eye contact, Scott.

6           So I think what -- and David.  So what I think his  
7  question is, proportionally, do you have more people  
8  enrolled on the left than the right.

9           MR. GLASS:  Right.

10          MR. BUTLER:  That's if you look at the percentage  
11  very specifically --

12          DR. MARK MILLER:  I'm just trying to get the  
13  question straight before --

14          MR. BUTLER:  Very specifically, if you took every  
15  dot for every county and just plotted the percent enrolled  
16  in MA plans and so you created that line --

17          DR. MARK MILLER:  I hear you.

18          MR. BUTLER:  -- would it go down, or would it go -  
19  -

20          DR. MARK MILLER:  So what I think it would be --  
21  and I'm getting a one-hand signal.  I'm getting a U-shaped.  
22  But what I would have said is on my own -- and then we'll

1 get some clarification. You may need to go to the  
2 microphone -- is you get a lot more penetration in the  
3 right-hand side of MA plans because that's where they want  
4 to go, because fee-for-service is high, right? And so what  
5 you also have to keep in mind is where the plans want to  
6 place themselves, and the percentage --

7 DR. CHERNEW: Well, that's a different side of the  
8 same coin.

9 MR. HACKBARTH: I think where the plans want to go  
10 is where there's the greatest difference between the  
11 benchmark and what they perceive to be the underlying cost.

12 DR. REDBERG: From a patient point of view.

13 DR. MARK MILLER: If you look at a dollar for --

14 MR. BUTLER: The left-hand side, you get the floor  
15 and those states that had a minimum of X, and so it's easy,  
16 because the underlying fee-for-service business is a lot  
17 cheaper.

18 MR. HACKBARTH: Yeah.

19 MR. BUTLER: And I can return richer benefits, and  
20 then they sign up and --

21 MR. GLASS: Peter --

22 MR. HACKBARTH: Go ahead.

1           MR. GLASS: If you look at this, see the yellow is  
2 the MA, the green is the fee-for-service. So they are not  
3 massive differentials there. The first quartile, there's a  
4 little bit more MA than one might expect if it was equal  
5 everywhere, and then the last quartile also, and the other  
6 two, the other way around. But it's not a massive effect.

7           DR. MARK MILLER: Except in the fourth quartile.

8           MR. GLASS: No. Even there, if you compare the  
9 yellow to the green.

10          DR. MARK MILLER: Oh, right.

11          MR. GLASS: Yeah. So comparing yellow to green is  
12 what we're talking about, MA versus fee-for-service, so the  
13 percent of -- I think that's the answer.

14          DR. CHERNEW: I would have to look again, but I  
15 think if you looked at the academic literature, you would  
16 find support for the premise that when the benchmark goes  
17 up, you get more people. So apart from the descriptive  
18 stuff you're doing here, I do think you could at least find  
19 somewhat older literature.

20           I don't know, Kate, if we had to do this for the  
21 paper we did, and so now I'm just blanking.

22          MR. HACKBARTH: When you say when the benchmark

1 goes up, the benchmark goes up relative to fee-for-service.  
2 The gap between the benchmark and fee-for-service are the  
3 actual value of the benchmark.

4 DR. CHERNEW: Holding fee-for-service cost and the  
5 benchmark goes higher, that's the -- I think that if you  
6 were to -- another way to say that is when they lower  
7 benchmarks as they're doing in the Affordable Care Act, you  
8 would expect that, all else equal, fewer people would join  
9 the MA plans, because of exactly what Peter said. The  
10 ability to save money and give back benefits is less, but I  
11 don't remember the magnitudes.

12 DR. STENSLAND: I think it's important to know  
13 there's two ways to give back benefits. You're getting  
14 money to give back benefits. One is, oh, you have a high  
15 benchmark, so you have this extra money to give back  
16 benefits, and that might be a low spending area. And  
17 another high spending area like Miami, oh, you have a huge  
18 amount of -- you know, this really high benchmark, and  
19 there's a lot of weight in there in the -- or a lot of waste  
20 in the fee-for-service spending, so you can get extra  
21 benefits by cutting waste. SO you kind of -- I think that's  
22 how you end up with that picture.

1 DR. MARK MILLER: And I think the picture is the  
2 U-shaped. You're a little bit ahead on the fourth quartile  
3 and a little bit ahead on the first quartile, and then the  
4 middle, not so much.

5 MR. HACKBARTH: Okay. Peter.

6 So over here, I had several hands up. Now, are  
7 these related to the Dave -- Craig thread, or are we  
8 starting a new topic?

9 DR. CHRISTIANSON: Your comment.

10 MR. HACKBARTH: My comment.

11 [Laughter.]

12 MR. HACKBARTH: You're last in line.

13 [Laughter.]

14 MR. HACKBARTH: Bill, was yours on one of these  
15 two threads?

16 DR. HALL: I can't remember.

17 [Laughter.]

18 MR. HACKBARTH: How about Rita? Just, Rita, on  
19 one of these two?

20 DR. REDBERG: I'm looking. Yes, it's definitely  
21 related, in my mind at least.

22 MR. HACKBARTH: Yeah. Okay.

1 DR. REDBERG: I don't know if it's yours.

2 I wanted to follow up, and it was actually related  
3 to your question on low -- are more lower cost, efficient  
4 providers more attractive to patients, and so what would be  
5 more attractive to patients is getting more benefits or  
6 getting lower copays or lower deductibles, but I don't think  
7 we're actually doing that in the ACO plans at all. And so  
8 it just seems not to make a lot of sense.

9 And then also on the provider side, as a  
10 specialist, I'm not -- if I participated in an ACO, I don't  
11 have any incentive to change my behavior for an ACO patient  
12 than for fee-for-service patient where I'm getting paid fee-  
13 for-service. So those things don't make sense to me in the  
14 ACOs.

15 MR. HACKBARTH: Okay. Bill and then Jon.

16 DR. HALL: Okay. So I found this discussion to be  
17 confusing, because it seems to show a lot of inconsistencies  
18 across the board and no clear-cut distinction in terms of  
19 excellence of one plan -- of one method of payment over  
20 another. Is that fair or not fair?

21 DR. STENSLAND: Yes.

22 DR. HALL: So when I was growing up, part of my

1 life in Michigan in the country, one of the most prevailing  
2 arguments around the community was which pickup truck do you  
3 buy, because you've got a choice of a Ford or a Chevy or a  
4 Dodge, and you couldn't buy a foreign one, or you would get  
5 your tires slashed. So there are just these three choices.

6 And endless discussions would go forward on why  
7 one would pick one over the other, and where I lived, if you  
8 didn't buy a Chevrolet, you were considered really odd. But  
9 a town down the road might be just the opposite.

10 So we've concentrated on why do people pick one  
11 plan over the other, and we're making hypotheses. I wonder  
12 if, as we go through this analyses, we could get to the  
13 point where we could say, "Well, what are the quality  
14 differences in each of these plans and the similarities that  
15 we can take when we start to craft yet maybe a fourth kind  
16 of payment system?" like Toyota or something.

17 And I think there's a lot to be said here. For  
18 example, we say, well, people who are sick tend to drop out  
19 of MA plans, and there was some suggestion that maybe the  
20 plans pushed them a little bit. I'm not really sure we have  
21 the data to support that.

22 What is it about fee-for-service if you're really

1 sick that people feel is superior? I think there are a  
2 number of possibilities in that. Maybe there's much less  
3 red tape. Maybe you actually find that you have a better  
4 relationship with your primary care doctor. Maybe it's  
5 easier to get specialty care.

6           So what are these elements that are in there? So  
7 I think we could learn a lot more from these data in terms  
8 of the next iteration of plans, and maybe comparing and  
9 making hypotheses of why some of these differences seem to  
10 exist. It might be that the characteristics that define  
11 excellence are actually perceptible to consumers, and that's  
12 what they're doing. But what do I know?

13           MR. HACKBARTH: So I think this is a hugely  
14 important point, Bill, so thanks.

15           I would think about this in two ways. One, there  
16 is the very important issue of patient preferences and what  
17 patients value, and I think that there is a lot of  
18 heterogeneity in that.

19           Take a real simple dimension. Some patients  
20 highly value free choice of provider on a service-by-service  
21 basis, and maybe patients that have complicated medical  
22 problems in particular value that. I'm not asserting that,

1 but that's a possibility.

2           There may be other patients who value more  
3 enhanced benefits, and financial concerns are paramount for  
4 them. SO if they can enroll in an option that gives them  
5 more complete financial protection at a lower cost, they'll  
6 tilt that way. And I think our policy needs to recognize  
7 that there is heterogeneity in patient preference.

8           On the other side of this, the performance side, I  
9 think there, too, there is enormous heterogeneity. We talk  
10 about these classifications, MA plans. MA plans are  
11 incredibly diverse in how they're structured, how well they  
12 perform, and so I think the fact that there is heterogeneity  
13 and preference and performance is very important in how you  
14 think about structuring policy. You want to offer choice  
15 for patients and respect that they have differences, and you  
16 offer a choice of different models of how to organize care  
17 and pay for care, and we try -- then the task it to try to  
18 set up an understandable-for-patients system where they can  
19 meaningfully exercise that choice.

20           I don't know if that's at all responsive.

21           DR. HALL: Yes. That's what I wanted to say.

22           MR. HACKBARTH: Jon.

1 DR. COOMBS: I've been waiting to jump in, only on  
2 the beneficiary side, so I just thought that maybe I could  
3 add something to what was just said.

4 MR. HACKBARTH: Okay.

5 DR. COOMBS: So when Craig said something about  
6 patients have the best position to decide what is good  
7 value, what's best value, and I just want to say something  
8 about this whole notion of what beneficiaries might decide  
9 is good value on the surface may look like, okay, this is  
10 valued. I get a gym membership. I get X, Y, and Z, but I'm  
11 not sure that all beneficiaries understand what best quality  
12 is in terms of choosing the value of the quality delivered.  
13 And that piece doesn't always go hand in hand with decision-  
14 making, and so that's a part of the shared decision-making  
15 that I think is very important, because I know that in  
16 regions, some MA plans will set up a meeting that's in a  
17 place that is not accessible for the bus in terms of  
18 enrolling, and you have three flights to go. So that  
19 automatically eliminates a whole lot of chronic illnesses  
20 without an elevator.

21 So there's just these arrangements with some MA  
22 plans where the attractiveness for some groups of patients

1 might not be on a level playing field, and I think it's  
2 really important for us to consider beneficiaries may know  
3 what's best value when it comes to those -- I won't say soft  
4 things, but those things that are not necessarily correlated  
5 with actually making a difference with the disease process,  
6 outcomes, and management.

7 MR. HACKBARTH: Yeah. And I absolutely agree with  
8 that, and one way to think about the whole quality bonus  
9 thing, if you have a product where the consumers of the  
10 product really can be counted on to evaluate options and  
11 make really sound decisions about quality, those markets,  
12 they don't have quality bonuses, extra payments for quality  
13 as a separate thing. Consumers do those tradeoffs for  
14 themselves.

15 One of the reasons in Medicare that we have  
16 specific provider bonuses coming from the payer is because  
17 we think maybe Medicare can help assess quality issues that  
18 patients find it very difficult to judge, and we want to  
19 send signals that reward high quality over poor quality.

20 So I have Jon, and let me just see hands of other  
21 people who want to get in here. I think we are just about  
22 out of time.

1 DR. MARK MILLER: No, you have 30 more minutes.

2 MR. HACKBARTH: Oh, we do. Oh, okay, good.

3 So I have Jon and then George.

4 DR. CHRISTIANSON: Okay. So not too long ago, I  
5 did some interviews at the UAW offices in Detroit, and the  
6 first question I asked when I came in the door is what kind  
7 of rental car I had.

8 [Laughter.]

9 DR. CHRISTIANSON: Because they were concerned  
10 about whether I'd be able to drive out of the lot at the end  
11 of the interview, to your point.

12 I actually agree with you, so it's good that you  
13 called me at this point.

14 [Laughter.]

15 MR. HACKBARTH: I think that the challenge for us  
16 right now is a conceptual challenge and what are our  
17 principles going forward in terms of how we want to do it.  
18 I think it's great. We've had a lot of talk about if we had  
19 done the simulations this way or that way, what column would  
20 the folks have ended up, and I think it was a good  
21 discussion, because it focused us on all the complicated  
22 issues.

1           And you started out by saying synchronizing policy  
2 across payment models is complicated, which I thought was a  
3 good way to start your chapter. It certainly is.

4           But you also said that the principle of fiscal  
5 neutrality is, quote, a refinement of our earlier definition  
6 of equal program payments, and I think that was at least as  
7 important in terms of what you said.

8           And I think it sort of raises the issue of what  
9 are our principles and not just -- so you've sort of --  
10 we've sort of talked about a principle synchronization.  
11 There also has to be a set of principles that we think are  
12 right in terms of getting us toward something like the right  
13 level of payment as well, as a synchronized level of  
14 payment.

15           I sympathize with what you are saying, Jack, in  
16 terms of the data is going to come in and we're going to  
17 have more information and so forth, but I also have been  
18 impressed with how long it takes for some messages to get  
19 through. So I don't want to use the data thing as an  
20 excuse, if you will, not to really early start -- as a  
21 Commission, define what those principles are. Is it  
22 synchronization? Is that an important part of the

1 principle? Is there a principle about how we think fee-for-  
2 service should be used in terms of setting an efficient  
3 payment level for Medicare beneficiaries?

4           And I guess I would want to encourage us to talk  
5 about that in July, what do we want to do as a Commission in  
6 this area, but I don't think we should delay doing that. I  
7 think we need to have a message right now that says,  
8 irrespective of what the data tell us about how many people  
9 fall in which buckets and year to year who is benefitting  
10 and who isn't, how do we think this ought to really be done.  
11 And it's complicated by the ACOs, and it's going to be even  
12 more complicated, potentially, as we get more details about  
13 what Senator Wyden wants to do. But there should be a set  
14 of things that we can convey to Congress that says,  
15 basically, you need to do it this way, and if you deviate  
16 from this set of principles, then we need to talk about what  
17 the implications are.

18           MR. GEORGE MILLER: Following up on that and  
19 certainly what Bill Hall started with, my discussion was  
20 going to be around the theme from the beneficiary standpoint  
21 of what would drive or incentivize the patients to choose  
22 one of the three plans, and I am struck by the fact that MA

1 is the only one that advertises to get patients.

2 So if just one entity advertised and the other two  
3 don't, I'm not sure how you drive quality when only one  
4 advertises for patients, and you incentivize quality in  
5 different ways.

6 As we look at this, it seems to me that if we're  
7 looking at it from the beneficiary standpoint, would we ask  
8 these same questions is one of the concerns that I would  
9 have, and what is the issue, like Rita was talking about,  
10 that drives the quality? How do I drive quality based on  
11 what the beneficiary would want versus how we're designing  
12 plans? That's the issue I wanted to lay on the table, and  
13 Bill was very kind to lead us in that direction, except for  
14 I grew up in Ohio which is a lot different than those folks  
15 up North.

16 [Laughter.]

17 DR. REDBERG: Yesterday was plumbing, and today is  
18 cars.

19 MR. HACKBARTH: So we're starting to open up some  
20 new ground here. Let me ask, is there anybody who wants to  
21 pursue the thread that George has opened up?

22 Alice.

1 DR. COOMBS: I did want to say something, and I  
2 guess we'll get to it in risk adjustment, but the whole  
3 notion of what each one of these plans look like in terms of  
4 what the beneficiaries look like, where do they come from,  
5 what are their ratio distributions.

6 And the ones that we're studying, I know that  
7 they're going to have some data for us, Jack, and maybe  
8 we'll get some answers about that, but I think I cannot  
9 ignore the fact that, regionally, there is a mal-  
10 distribution of -- equal distribution of ratio distribution  
11 of some of the ACOs in our area, and that has to be  
12 improved. Something has to change about that.

13 MR. BUTLER: A little different topic, if that's  
14 okay, so Slide 15. This gets at, I think, some of the last  
15 questions.

16 I'm struggling here. You talked to some of the  
17 incentives or you could call it "gaming" in terms of dis-  
18 enrolling or enrolling in various -- and you kind of pit MA  
19 against Pioneer ACOs. I think one of the things that we  
20 ought to consider is those organizations that play in all  
21 three themselves. So you have fee-for-service, you have  
22 ACO, and then MA, so not ones that are in MA, an

1 organization that is MA versus one that is an ACO. I would  
2 worry about the ones that have an ACO and an MA plan and  
3 direct the healthy into the MA versus the -- and you wonder  
4 whether an organization ought to be permitted to be in both.  
5 That might be -- you know, you've got to do one or the  
6 other. It's a thought.

7 MR. HACKBARTH: An interesting thought. I would  
8 think that it's very, very common for people to be playing  
9 in all three of the games. In fact, I would think that's  
10 the norm, almost, especially -- not the Pioneer ACOs, of  
11 course, but the broader ACOs. Over time, everybody will be  
12 in all three games.

13 Mike.

14 DR. CHERNEW: I'm not 100 percent sure that would  
15 work out because of aspects of needing to have an insurance  
16 license and do things to be in MA versus not in ACO, but  
17 maybe -- and of course, they could play in the -- plans that  
18 are ACOs can certainly contract with MA plans, and in that  
19 sense --

20 MR. HACKBARTH: Yes.

21 DR. CHERNEW: -- be in both in that they have  
22 their own model.

1 MR. HACKBARTH: Right.

2 DR. CHERNEW: I was going to say something  
3 different.

4 MR. HACKBARTH: Okay. So let me just see.  
5 Anybody want to follow up on Peter's thought for a second?  
6 Scott.

7 MR. ARMSTRONG: Actually, skipping back one more  
8 to Jon's comments about principles, and that I'm really just  
9 been thinking about that. I think that's exactly right. In  
10 particular, just a couple of thoughts.

11 One, we talk about -- and we've been talking a lot  
12 about we're trying to improve the synchronization of these  
13 different programs, but even there, it's like to what end,  
14 and I think we ought to be really clear about that. I mean,  
15 it is not just so that we can explain the math and how they  
16 do or don't compare to one another, but it's really toward  
17 the goal of overseeing payment policy where there are, as  
18 you were saying -- there are all these choices, and there  
19 are always going to be these choices, but we kind of want to  
20 have a point of view on which are the better choices and why  
21 and how we can use payment policy to create incentives to  
22 move people to the more efficient, top quality, lower cost

1 direction.

2 We've been fairly explicit about we have a bias to  
3 move kind of upstream from fee-for-service through bundled  
4 payments and elsewhere.

5 So anyway, I just think this conversation is very  
6 provocative and really interesting, and I can't -- I don't  
7 get it all, but I look forward to continuing it, but it does  
8 really beg some, I think, principle questions that I would  
9 look forward to having a conversation about.

10 MR. HACKBARTH: I have Mary and Mike. New topic,  
11 Mary? Which one are you --

12 DR. NAYLOR: Following Jon's --

13 MR. HACKBARTH: Okay. Why don't you go and --

14 DR. NAYLOR: I couldn't agree more that I think  
15 that this -- first of all, it's been a very rich  
16 conversation. A framework for the principles, because  
17 people have talked about needing to look at this, and you --  
18 your work said future is going to look at the beneficiary's  
19 perspective, provider plans, payers, but the principles and  
20 values that seemed to have emerged, that I think could at  
21 least be a part of a way to think about how much are we  
22 going to weight each of these, around transparency, choice,

1 efficiency, quality -- it seems to me quality is the common  
2 ground we were looking for yesterday, and regardless of what  
3 the plans are, we should have common understanding of at  
4 least at a high level, population perhaps, what performance  
5 is, but -- so to the extent -- but at the same time, you're  
6 looking for the kinds of incentives that promote competition  
7 and innovation and fairness and equity. Maybe not  
8 synchronization as we have been talking about it and  
9 financial neutrality.

10           So it seems this conversation has helped to shape  
11 what our goals are and how we might look at it from the  
12 multiple lenses with which we need to do that.

13           MR. HACKBARTH: Yesterday, I think, Mary, you also  
14 made this point that, you know, you look at these problems,  
15 and I confess that I tend to fall most easily into the payer  
16 perspective. How does this look from the Medicare as payer  
17 perspective? But a number of comments have here underlined  
18 how important it is also to then spin it around and look at  
19 all of these things from the patient perspective and what do  
20 the options look like. And then Peter's comment illustrates  
21 it's also important to spin it around again and look at,  
22 okay, what are the provider options, and, you know, what

1 should be our principles there about guiding what options  
2 they should have. And it really -- if we're going to have  
3 an enduring set of principles, we've got to look at it from  
4 each of those perspectives as we formulate.

5 DR. CHERNEW: I think principle-wise we should try  
6 and tie the discussion loosely to the principles that we use  
7 for all the other payment things that we talk about so it's  
8 not some broad separate thing. But there are going to be  
9 things that I think are not necessarily principles that are  
10 uncertainties about mechanisms. So there's what I would  
11 call process issues, bidding versus not.

12 I don't know if there's a principle that would  
13 tell you something bidding versus not. There's some  
14 analytics that might inform what you think about that. The  
15 type of things where I think we're going to really have to  
16 worry about principle -- and I want to go back to the Cori  
17 thread about why you would ever pay more in a particular  
18 area -- relates to this issue you raised about the  
19 perspective, which is the savings in these models get  
20 distributed differently in various ways. And so one thing  
21 that happens is if you're in a low fee-for-service spending  
22 area, the benefit of that essentially all accrues to the

1 Treasury in a variety of ways. And if you're in a high-  
2 spending area and one of these organizations comes in, if  
3 it's an MA plan and they come in and bid low, the system is  
4 basically designed so a portion goes back to the Treasury  
5 and a portion goes back to beneficiaries, and the plans are  
6 constrained in terms of savings, where if you come in as an  
7 ACO and you're efficient in that market, those savings  
8 accrue to the ACO and then somewhat to the government.

9           You might imagine that people in low-cost areas  
10 paying -- if we had a uniform national benchmark -- and I'm  
11 not advocating it. I want to be very clear. I am not for  
12 waste. I am not advocating --

13           [Laughter.]

14           DR. CHERNEW: I'm not advocating for uniform  
15 national benchmarks. But it would, for example, make people  
16 that live in high-cost areas have to bear the brunt of the  
17 high-cost areas and people in low-cost areas capture some of  
18 the savings. Some of that would go back to beneficiaries.  
19 So there is a complicated distributional issue which  
20 politically will be very hard to work through, and I don't  
21 imply it will. But we're going to have to deal with the  
22 same things we deal with on all the other issues in terms of

1 we're going to care about access, we're going to care about  
2 provider viability, we're going to care about quality, and  
3 we're going to have to address issues like how much quality  
4 are we willing to pay for. If an organization shows it's  
5 better, does that mean we just automatically pay more for  
6 it?

7           So those are the type of things we care about, but  
8 I think the basic principles of access, sort of provider  
9 viability, and being able to enter, those type of things,  
10 matter.

11           My personal view, I don't put very much weight at  
12 all on the belief that some organizational form needs to  
13 exist in an area just for the sake of having it need to  
14 exist in the area. So it doesn't bother me at all if  
15 there's an area that has no MA plans generically if the  
16 quality and cost there look fine. There is a question about  
17 getting beneficiaries to benefit in certain ways, but in any  
18 case, I don't think pursuit of some organizational  
19 structure, everyone needs to have an ACO because there's  
20 some inherent value of that, is not something I would put  
21 high on the list in my set of principles compared to the  
22 ones we use for the other things.

1 DR. NERENZ: I also just wanted to say a little  
2 bit about this idea of principles. I thank Jon for bringing  
3 that up.

4 It occurs to me that as we think about this  
5 particular domain, I can think of three distinct broad  
6 principles that might take us in different directions.

7 One is one that we've talked about in the past  
8 that basically says siloing and fragmentation and whatnot  
9 are bad, coordination and integration are good, higher forms  
10 of coordination and integration are good. And I think we've  
11 all seen diagrams showing sort of progression to that, with  
12 perhaps ACO in the middle, MA out at the side. That's a  
13 principle. That's a set of things that drives decisions.

14 Then there's the one actually Mike just expressed,  
15 that we say, well, actually what we ought to do is be for  
16 various rules and regulations that allow or even encourage  
17 all these forms to exist simultaneously with the idea then  
18 that there should be level playing fields. That's another  
19 one of our principles we talk about. And then individuals  
20 choose where they want to go, but as Mike just said, one  
21 characteristic of that is you try to allow these forms to  
22 exist or encourage them to exist in as many places as

1 possible. That's a different principle.

2           Then Cori in her comments suggested a third one I  
3 hadn't thought about before in that way, and that is that  
4 many of these organizational forms have inherent costs.  
5 They have infrastructure costs. Care coordination is an  
6 activity that has a cost. And registries have costs, things  
7 have costs. And if there are areas in which you get low-  
8 cost and high-quality care without those costs, then another  
9 principle would say you don't encourage putting those costs  
10 in if you don't need them. You only put them in where  
11 they're needed to solve some kind of problem. And I don't  
12 know that we've had much discussion of that principle, but I  
13 find some attraction to it. So I just wanted to put that  
14 one out there, that that takes us yet in a somewhat  
15 different direction.

16           MR. HACKBARTH: Anybody else want to get in here  
17 commenting on Dave before I -- are you going back to Mike's  
18 comment, Kate? Okay.

19           DR. BAICKER: So just a brief addendum to Mike's  
20 comment, which I agree with the principle that there's no  
21 particular form that we want to make sure every beneficiary  
22 necessarily has in his or her area. But your point about

1 how the benefits are distributed between the beneficiary and  
2 the plan highlights that MA plans that are bidding below are  
3 returning some extra benefits to beneficiaries. So the  
4 people who are enrolled in those plans are getting something  
5 that the traditional fee-for-service plan doesn't offer. So  
6 beneficiaries in an area that don't have that choice don't  
7 have access to those add-on benefits and are implicitly in  
8 some ways -- you know, pay -- the beneficiaries who live in  
9 areas with those plans are getting more out of the system  
10 than beneficiaries who don't.

11           So while I think there's no particular  
12 organizational form that we should be favoring -- and that's  
13 part of the principle of neutrality of payments or symmetry  
14 of payments, is because we're not trying to push one  
15 organizational form or another, we just want beneficiaries  
16 to go to where they're getting the best quality, highest  
17 value care. We have to keep in mind that limiting -- that  
18 when the options are limited, that has distributional  
19 implications for who's got access to what extra services.

20           MR. HACKBARTH: So the people who have been  
21 proponents of high Medicare Advantage benchmarks in areas of  
22 the country where there are low fee-for-service costs are --

1 you know, they're not crazy by any stretch. You know, what  
2 they're saying is that we pay equal taxes in Medicare, and  
3 everyone pays the same Medicare tax rate, et cetera. But in  
4 some parts of the country, people are getting a whole lot  
5 more health care services for it than in other parts of the  
6 country.

7           As Mike says, in those low-cost areas of the  
8 country, all of the savings from the low costs accrue back  
9 to the Treasury and people feel like, hey, I'm paying equal  
10 taxes and premiums, I'm not getting the same value, and so  
11 they look for a mechanism whereby beneficiaries in those  
12 places could get extra value in exchange.

13           And I understand all that, but then the next  
14 question is: Is this the sensible way to deal with that  
15 perceived inequity? And what are the consequences of  
16 dealing with the perceived inequity through higher payments  
17 to MA plans? And that's here I start to have my questions  
18 about whether that's really the best approach.

19           DR. BAICKER: Yeah, and that's the challenge of  
20 thinking strictly about equity. It's not the same to level  
21 up or level down. I don't think the solution to some  
22 beneficiaries using more services than others is to just

1 make sure everybody uses the most services possible,  
2 clearly, like that gets rid of inequity and it's not a good  
3 solution. So I agree with you absolutely there, and I'm  
4 just highlighting do we want to think about the  
5 distributional implications as well as the level that we end  
6 up with, and both are important.

7 MR. HACKBARTH: This goes back to Dave's  
8 principle. I'm not going to try to formulate the principle  
9 right now, but, you know, you want to have that equity, but  
10 you want to do it in ways that also encourage the efficient  
11 delivery of high-cost medical care.

12 DR. BAICKER: High quality [off microphone].

13 MR. HACKBARTH: What did I say [off microphone]?

14 [Laughter.]

15 DR. BAICKER: High cost.

16 DR. CHERNEW: But if you're going to have high-  
17 cost care, you want it to be efficient.

18 DR. MARK MILLER: I thought he was taking Mike's  
19 support of waste.

20 [Laughter.]

21 MR. HACKBARTH: Right, right. I do not support  
22 Mike's position on waste. I'm sorry.

1           Okay. Who's next?

2           MS. UCCELLO: I just want to add onto this. I  
3 agree with that whole discussion, but I think it's even more  
4 complicated that --

5           DR. BAICKER: [off microphone].

6           [Laughter.]

7           MS. UCCELLO: If you think about in these low-  
8 spending areas does that mean that then the cost sharing is  
9 actually lower for those beneficiaries than maybe in other  
10 areas? What are maybe the Medigap premiums in those areas  
11 versus other areas? So I think if we're talking about what  
12 people are spending overall, we need to think about those  
13 things as well as what extra benefits they might be getting.

14          DR. HOADLEY: Yeah, I was thinking in similar  
15 lines. I mean, how you think through the impact on  
16 beneficiaries gets complicated. I mean, you could solve the  
17 extra benefits problem, you know, if you convert it to cash,  
18 which plans have an option to do even though they're mostly  
19 not taking that option. But that doesn't really solve it  
20 because then you've got sort of cash inequities. And are  
21 you telling somebody that lives in a certain area that  
22 they're going to pay more? I mean, this happened, but Part

1 D it's definitely happening. If you live in certain states,  
2 you're paying \$10 or \$15 more a month for the exact same  
3 Part D benefit on average, and you may not even be able to  
4 pick among plans. You know, it's hard to find a plan that's  
5 as cheap in certain areas as it is, you know, the average in  
6 the other areas. And so in the end, you're penalized by  
7 where you live.

8           And so you can say that's because the average  
9 people are using too many drugs or using too many medical  
10 services or it's wasteful or it's whatever. But, you know,  
11 unless we want to encourage people to move around in order  
12 to shop -- so, I mean, I think we need to think about a lot  
13 of dimensions of how the costs -- I mean, we've had this  
14 conversation last year in talking about some of the models  
15 for how to change the competition and the bidding systems  
16 and so forth, and I know you talked about getting back to  
17 the beneficiary aspects of this. But they're complicated,  
18 and I think that is a very important area we've got to  
19 really spend a lot of time on.

20           MR. HACKBARTH: We're just about the end of our  
21 time. Anybody want to get in a final word before we  
22 conclude this?

1 [No response.]

2 MR. HACKBARTH: Okay. Good work, David and Jeff  
3 and Julie, obviously thought provoking.

4 And so now we turn to risk adjustment.

5 [Pause.]

6 DR. ZABINSKI: I'd like to thank everybody for  
7 sticking around. My wife usually views risk adjustment as a  
8 cure for insomnia, and she'd probably be out of here  
9 already.

10 [Laughter.]

11 DR. ZABINSKI: Anyway, today we'll discuss risk  
12 adjustment in the Medicare program.

13 Effective risk adjustment is important in Medicare  
14 for a number of reasons. First, nearly 30 percent of  
15 Medicare beneficiaries are in MA plans, and payments to  
16 these plans are risk adjusted. And these payments need to  
17 be accurate to reduce any incentives to attract favorable  
18 risks, also called selection.

19 Today we'll talk about risk adjustment within the  
20 parameters of the MA program, but keep in mind that all the  
21 issues within MA have implications for other sectors within  
22 the Medicare program.

1           In particular, the payment neutrality among fee-  
2 for-service Medicare, MA, and ACOs can improve efficiency in  
3 Medicare, and effective risk adjustment is necessary to  
4 obtain that payment neutrality.

5           Also, if providers are asked to take on more risk  
6 through mechanisms such as single payments for episodes of  
7 care, these payments need to be risk adjusted if they are  
8 going to accurately reflect patients' costliness.

9           First, we'll discuss some background on risk  
10 adjustment in Medicare Advantage. In MA, plans receive  
11 monthly capitated payments for each enrollee, and these  
12 payments are the product of a risk score and a local base  
13 rate. And CMS currently uses the CMS-HCC model to risk  
14 adjust the MA payments, and the most important feature of  
15 that model is it uses beneficiaries' conditions from the  
16 previous year to predict beneficiary costs in the current  
17 year. And under this model, risk scores and payments are  
18 higher for sicker enrollees who are expected to be more  
19 costly and lower for healthier enrollees who are expected to  
20 be less costly.

21           Prior to using the CMS-HCC model, CMS used risk  
22 adjustment models that weren't nearly as effective. These

1 models underpaid plans for beneficiaries who had health  
2 conditions and overpaid plans for beneficiaries who had no  
3 conditions and were healthy. So depending on the risk  
4 profile of their enrollees, plans could benefit or be  
5 disadvantaged. However, empirical evidence now indicates  
6 that how a plan performs financially may not reflect the  
7 purported risk profile of their enrollees.

8           The CMS-HCC model has been successful in  
9 addressing underpayments for beneficiaries who have  
10 conditions and overpayments for those who have no  
11 conditions. And the benefit of that appears to be a large  
12 reduction in the extent of favorable selection among  
13 beneficiaries who move from fee-for-service to MA.

14           Another positive result since CMS began using the  
15 CMS-HCC model is that the rate that beneficiaries disenroll  
16 from MA plans has slowed. But it's difficult to know  
17 exactly how much of that result is due to the risk  
18 adjustment or the lock-in provision in the MA program.

19           But despite these improvements, there are ongoing  
20 problems as the CMS-HCC model still underpredicts the cost  
21 of high-cost beneficiaries and overpredicts costs for low-  
22 cost beneficiaries.

1           Moreover, although the rate of disenrollment from  
2 MA plans has declined, the risk profile of disenrollees has  
3 gotten worse since CMS began using the CMS-HCC model.

4           The reason to be concerned about the  
5 overpredictions for low-cost beneficiaries and  
6 underpredictions for high-cost beneficiaries really boils  
7 down to equity both within MA and across the MA, ACO, and  
8 fee-for-service sectors.

9           MA plans that attract a high share of high-cost  
10 beneficiaries may be at a disadvantage while those with a  
11 high share of low-cost beneficiaries can benefit.

12           Also, if MA plans are able to attract many low-  
13 cost beneficiaries, payments for those organizations may be  
14 higher than what their enrollees would cost in fee-for-  
15 service Medicare or ACOs, and that goes against the desire  
16 for financial neutrality across those sectors.

17           The inadequate adjustment for high-cost and low-  
18 cost beneficiaries puts CMS in something of a conundrum.  
19 First, beneficiaries' prior-year costs are a good predictor  
20 of their current-year costs, and the CMS-HCC model already  
21 uses beneficiaries' prior-year conditions for risk  
22 adjustment, and CMS could use the prior-year costs to

1 improve how well the model predicts costs for both high-cost  
2 and low-cost beneficiaries. But CMS chooses not to use that  
3 information in the CMS-HCC model, perhaps because of  
4 undesirable incentives.

5 Plans may have less incentive to manage their  
6 enrollees' care to hold down costs, and it may penalize  
7 plans that do so. And we believe these are legitimate  
8 concerns, as do other researchers.

9 At the same time, plans likely have data on their  
10 enrollees' prior-year costs, and they can use it. So they  
11 have an information advantage over CMS, and there is a clear  
12 incentive for plans to use that information to avoid high-  
13 cost beneficiaries for whom they may be underpaid. I'm not  
14 saying plans respond to that incentive, but it's clearly  
15 present and undesirable.

16 The issues we've discussed so far are theoretical,  
17 but what really matters is how significant the problems are  
18 in practice.

19 Now, theoretically, plans can be disadvantaged if  
20 their enrollees have a high risk profile. But a GAO report  
21 says that MA plans are profitable on average, and the most  
22 profitable are SNPs, which purportedly have a lot of high-

1 risk enrollees. So financial problems from underpayments  
2 for high-cost beneficiaries do not appear to be widespread.

3 We also know that the costliness of MA  
4 disenrollees when they move to fee-for-service has increased  
5 over time, and these empirical results might indicate that  
6 plans are getting an advantage of a high share of low-cost  
7 beneficiaries or a low share of high-cost beneficiaries.  
8 And to the extent that's true, Medicare should reduce  
9 opportunities for plans to benefit from a favorable mix of  
10 risks.

11 Previous work that we reported in a June 2012  
12 chapter was a small step in addressing that issue. In that  
13 analysis, we looked at three possible additions to the CMS-  
14 HCC model: adding beneficiaries' race and income; adding  
15 the number of conditions that each beneficiary has; and  
16 using multiple years of diagnosis data, rather than a single  
17 year of data, to define beneficiaries' conditions.

18 We found that adding beneficiaries' race and  
19 income would have a negligible effect among beneficiaries  
20 who have several conditions and are, therefore, generally  
21 quite sick and high cost, But we found that adding the  
22 number of conditions for each beneficiary would improve how

1 well risk adjustment works for those have several  
2 conditions, and also using two years of diagnosis data to  
3 define beneficiaries' conditions would also improve risk  
4 adjustment for those who have several conditions, but not by  
5 as much as adding the number of conditions would.

6           However, risk adjustment errors for the highest-  
7 cost and lowest-cost beneficiaries are quite large, and  
8 adding the number of conditions to the model would address  
9 only a small part of those errors.

10           So we reviewed the literature and identified three  
11 alternatives that have been suggested for improving risk  
12 adjustment for the highest- and lowest-cost beneficiaries,  
13 which would address the information advantage held by plans.

14           One of these is called a hybrid model, which  
15 combines what are called prospective and concurrent risk  
16 adjustment. And bear with me, I'll define what those two  
17 terms mean on the next slide.

18           A second alternative is adding beneficiaries'  
19 prior-year costs to the CMS-HCC model. As we mentioned  
20 earlier, this may have an undesirable incentives, and we'll  
21 discuss an idea for avoiding that incentive. Also, we  
22 should use prior-year costs rather than single-year costs

1 because that better represents the information that plans  
2 might have to identify high-cost and low-cost beneficiaries.

3 Finally, we examine truncating the enrollee-level  
4 costs that plans are responsible for. And for enrollees  
5 whose costs exceed some threshold, reinsurance could be  
6 used.

7 Something to understand is that all of these  
8 alternatives would add some degree of cost-based payment to  
9 an otherwise prospective model.

10 Now, first, let's talk about the details of a  
11 hybrid model.

12 As I said, it uses concurrent risk adjustment for  
13 some beneficiaries and prospective risk adjustment for  
14 others.

15 The idea of concurrent risk adjustment is that it  
16 would use beneficiaries' conditions that are diagnosed in  
17 the current year to predict their costs in the current year;  
18 whereas, a prospective model uses beneficiaries' conditions  
19 that are diagnosed last year to predict their costs this  
20 year.

21 The CMS-HCC model uses prospective risk adjustment  
22 because there is a concern that concurrent risk adjustment

1 could increase incentives for plans to upcode conditions,  
2 and it may give plans less incentive to manage care to hold  
3 down high-cost conditions.

4           The literature on hybrid models argues that to  
5 avoid these adverse incentives, the concurrent part of  
6 hybrid risk adjustment should be limited to beneficiaries  
7 who have conditions that are chronic, costly, and easy to  
8 verify, meaning conditions that are diagnosed through  
9 specific test results or a with few well-defined symptoms.  
10 And beneficiaries who don't have one of these conditions  
11 would be subject to prospective risk adjustment.

12           So, to summarize, a hybrid model would have  
13 concurrent risk adjustment for beneficiaries who have  
14 conditions that are chronic, costly, and easy to verify, and  
15 then prospective risk adjustment for all others.

16           The rationale for applying concurrent risk  
17 adjustment for some beneficiaries is that it provides  
18 quicker payment adjustment when beneficiaries are diagnosed  
19 with a condition making them more attractive to plans.

20           Now, let's turn to the idea of adding  
21 beneficiaries' prior-year costs to the CMS-HCC model.

22           We mentioned earlier that beneficiaries' prior-

1 year costs are a good predictor of their current-year costs,  
2 and they would improve the model's predictive power because  
3 they can capture patient severity, patient preferences, and  
4 providers' practice styles.

5 But there have been a lot of warnings against  
6 using prior-year costs as a risk adjuster, such as in a  
7 paper from the Society of Actuaries. The concern is that it  
8 can reduce plans' incentive to manage their enrollees' care  
9 to contain costs, and it can penalize plans that do so.

10 But a recent synthesis paper by Schone and Brown  
11 of Mathematica argues for using prior-year costs. And they  
12 say that to avoid incentive problems, they suggest using the  
13 number of non-preventable hospitalizations in each plan as a  
14 proxy.

15 But implementing the non-preventable  
16 hospitalizations may present some challenges because there's  
17 no real clear definition of what they are, and it's not  
18 known how well they would work as a proxy.

19 The final method that we identified for improving  
20 the CMS-HCC model is limiting the amount of beneficiary-  
21 level costs that plans could be responsible for. This  
22 option is frequently discussed for addressing the issue of

1 underpayments for high-cost beneficiaries. But it does add  
2 a cost-based feature to MA payments, which can reduce  
3 incentives to manage care and hold down costs.

4           Also, there's a question that would need to be  
5 addressed: At what level should the threshold be set? For  
6 this analysis, we simply look at two truncation levels:  
7 \$100,000 and \$250,000.

8           Then to evaluate how well the CMS-HCC model and  
9 the alternatives that we've covered predict beneficiaries'  
10 costs, we use what's called predictive ratios, which tell us  
11 how well costs are predicted for a group of beneficiaries.

12           They are defined as the ratio of total predicted  
13 costs for a group divided by total actual costs for the  
14 group. And I've always viewed them as something similar to  
15 payment-to-cost ratios.

16           If a group has a predictive ratio greater than  
17 1.0, then the predicted costs are greater than their actual  
18 costs and costs are said to be overpredicted. Whereas, if a  
19 group has a predictive ratio less than 1.0, then predicted  
20 costs are less than actual costs, and costs are said to be  
21 underpredicted.

22           Then if a group has a predictive ratio equal to

1 1.0, predicted costs equal actual costs, and that's what we  
2 want.

3 We went on to look at how well the standard CMS-  
4 HCC model and the alternatives discussed earlier predict  
5 costs for beneficiaries who have specific conditions such as  
6 cancer and diabetes. I won't show the results here, but  
7 they're in your paper, and all of those models do quite well  
8 for conditions in general, meaning that predictive ratios  
9 are close to 1.0 in the models for most conditions.

10 We also examined how well these models predict  
11 costs for beneficiaries who have low costs or high costs in  
12 the previous year. And we found that the CMS-HCC model  
13 underpredicts for the high-cost beneficiaries and  
14 overpredicts for the low-cost beneficiaries. And this is  
15 consistent with other research.

16 Some of the alternatives that we evaluated do  
17 better in terms of predicting for those groups, but they may  
18 present some issues.

19 Which you can see on this diagram. In this table,  
20 we break beneficiaries into percentile categories of their  
21 actual costliness in the year before the costs are  
22 predicted. We call this prior-year costs, and that's what's

1 listed in the first column. Across the top of the table, we  
2 have the five models that we evaluated.

3 Each cell in the table tells you the predictive  
4 ratio for a particular prior-year spending category under a  
5 particular model.

6 The purpose is to compare the predictive ratios  
7 from the standard model, which are in the second column of  
8 numbers, and set off by themselves, to predictive ratios for  
9 the alternative models.

10 First, consider the column under the hybrid model,  
11 where we have three numbers in a rectangle at the bottom of  
12 that column, which are the three highest cost categories.

13 The predictive ratios in these three groups are  
14 lower in the hybrid model than in the standard model, and  
15 this tells us the underprediction of costs for these high-  
16 cost categories is actually worse under the hybrid model  
17 than under the standard model.

18 Now turn to the column under adding prior-year  
19 costs. Compared to the standard model, underprediction for  
20 the lowest-cost beneficiaries declines; underprediction for  
21 the highest-cost beneficiaries actually becomes an  
22 overprediction. But this comes at the expense of

1 underprediction for the three spending categories in the  
2 rectangle in the middle of that column.

3           Finally, turn to the last two columns, where we  
4 have the truncated costs at \$250,000 and \$100,000. The  
5 numbers in the rectangle at the bottom of these columns show  
6 essentially no change from the standard model, except for  
7 the small to moderate increases in the predictive ratios for  
8 the highest-cost categories in the bottom row.

9           So looking at the big picture of this diagram, the  
10 hybrid model appears to exacerbate prediction errors of the  
11 standard model. Adding prior-year costs eliminates  
12 underprediction for high-cost beneficiaries, reduces  
13 overprediction for low-cost beneficiaries, but creates  
14 underprediction for those in the middle of the cost  
15 distribution.

16           Truncating costs has small to moderate effects  
17 among the high-cost beneficiaries, but does little in the  
18 other groups and adds a cost-based feature to a prospective  
19 model.

20           So, in summary, the adjustments to the CMS-HCC  
21 model that we evaluated either don't improve how well costs  
22 are predicted for the highest-cost and lowest-cost

1 beneficiaries, or they present other issues.

2           Given these problems in the models we evaluated, a  
3 good question to ask is: How well should risk adjustment  
4 models predict current-year spending?

5           By design, we know that risk adjustment will have  
6 payment errors, and there will be underpayments for some  
7 people and overpayments for others. And given the payment  
8 errors, we need to figure out how to prevent selection  
9 problems. One method we haven't discussed is administrative  
10 action.

11           So another question is: How much of selection  
12 prevention should be done with risk adjustment and how much  
13 with administrative measures?

14           Administrative options that could be considered  
15 are penalizing plans for excessive rates of disenrollment of  
16 high-cost beneficiaries or placing catastrophic caps on  
17 plans' losses.

18           So, in summary, what we know is that the CMS-HCC  
19 model inaccurately predicts costs for high-cost and low-cost  
20 beneficiaries. But it does well for specific conditions.  
21 The inaccuracies at the cost levels may cause selection  
22 problems in MA and equity problems among the MA, ACO, and

1 fee-for-service sectors.

2 We went on to examine some options to address  
3 these systematic payment inaccuracies at extreme levels of  
4 spending. Some could improve payment accuracies, but other  
5 issues could result from them. Therefore, we may want to  
6 consider alternative options to address payment inaccuracies  
7 at extreme levels of spending.

8 And that concludes, and I turn things over to the  
9 Commission.

10 MR. HACKBARTH: Clarifying questions for Dan?

11 DR. HALL: Dan, is it possible to generalize on  
12 the attribution of cost in the high-cost categories? My  
13 assumption is that they must have hospitalizations somewhere  
14 either in the prior year or the current year to make the hit  
15 list. Is that right or not?

16 DR. ZABINSKI: Well, I'm not sure about  
17 hospitalizations, but I do know -- and, not surprising,  
18 there's a lot of conditions in that high-cost category. The  
19 average in what I call the base year in the paper, that's  
20 the year from which they draw conditions to do the risk  
21 adjustment, they average 6.7 conditions in that highest  
22 category; whereas, in the very lowest categories, it's 0.2

1 conditions. So in that sense, there's some real demarcation  
2 between the groups. But I'm not sure about the  
3 hospitalization. I'm sure there's quite a bit.

4 DR. HALL: [off microphone].

5 DR. ZABINSKI: Okay.

6 DR. REDBERG: Thanks for the interesting  
7 presentation, Dan. On Slide 7, in terms of prior-year costs  
8 are a good predictor of current-year costs, can you also  
9 tell us something -- or do we have information in terms of  
10 how many patients change providers? I'm interested in how  
11 much of the difference in cost is patient characteristic  
12 driven or provider characteristic driven. Would a reason  
13 that a lower-cost patient becomes higher cost because they  
14 went to a higher-cost provider in the following year? Or  
15 did they have a change in condition?

16 DR. ZABINSKI: I have absolutely no idea about  
17 changing providers. I'm trying to think if there's a  
18 straightforward, somewhat easy way to do that, and offhand I  
19 can't think of one. Probably could do it. My guess is it  
20 would take some work. You know, it's primarily the case of,  
21 as I say in the -- what I really know about it is that, you  
22 know, it seems to be condition driven, perhaps

1 hospitalization driven that he brought up as well.

2 DR. REDBERG: The other -- and this probably could  
3 be for answering at a later time, but I'm interested, again,  
4 in the low-cost and high-cost patients, if we have some data  
5 on how many doctors in the fee-for-service system each of  
6 them see or at ACOs, and of those doctors, how many of them  
7 are primary care doctors and how many are specialists?  
8 Because we know that a lot of patients do see multiple  
9 providers even in the same specialty.

10 DR. ZABINSKI: That would be probably much easier  
11 to come up with, just what I know about, you know, claims  
12 data, what's on the claims and that sort of thing, and  
13 linking up what type providers they are. That's doable.

14 DR. REDBERG: One more clarifying question. And,  
15 again, I think this would require getting back to us, but is  
16 it possible for us to get -- besides the number of  
17 conditions for those high-cost patients that, for example,  
18 \$100K and \$250K, can you tell us what we're generally  
19 spending those -- what are those costs going to? Is it  
20 paying hospitals, outpatients, imaging? All that kind of  
21 detail.

22 DR. ZABINSKI: This is sort of -- this is half

1 sort of a hypothesis more than anything, but I would guess  
2 that a lot of it is inpatient care but -- relative to  
3 others, but that's, you know, somewhat speculation.

4 DR. REDBERG: I would guess it is. But if it's  
5 possible to break it down into DRG groups or any kind of  
6 detail.

7 DR. NERENZ: Thanks, Dan. This was really good,  
8 and I just want to point out the coffee refill I got was not  
9 because I really needed it.

10 [Laughter.]

11 DR. ZABINSKI: Everybody's still awake.

12 DR. NERENZ: No, no. This is good.

13 Also, on the slide we're on, just clarifying how  
14 we should interpret the last couple of bullets. You point  
15 out here plans have information about their own enrollees,  
16 but they don't have information about those who might come  
17 to them new. So what does the word "avoid" mean here? What  
18 can plans actually do if what they know about are their own  
19 current enrollees?

20 DR. ZABINSKI: Well, some could say that, you  
21 know, just the general structure of the plan itself, you  
22 know, somebody gets very sick, does the plan have the

1 structure to, you know, effectively provide the care that's  
2 needed and that sort of thing, I would guess. And, you  
3 know, it might just be the idea that people who get very  
4 sick might find the less restrictive nature of fee-for-  
5 service more preferable than a more structured network type  
6 system that a plan would offer.

7 It's not necessarily the plan activity. It's  
8 just, you know, the -- or it could be patient driven as  
9 well.

10 DR. NERENZ: Okay, fine. Thank you. I just  
11 wanted to know how active this word "avoid" was meant to be  
12 taken.

13 DR. HOADLEY: On Slide 8 you talk about the -- you  
14 referenced the literature on fee-for-service costs of  
15 disenrollees increasing over time. Has that literature  
16 focused on disenrollment specifically to fee-for-service?  
17 Or is it also looking at people who switch to other MA  
18 plans?

19 DR. ZABINSKI: What I'm talking about there, it's  
20 going to fee-for-service. Primarily I'm thinking the  
21 Newhouse, et al., article, I think it was late in 2012. You  
22 know, it had sort of two parts where it looked at the

1 effect, a selection measure for people moving from fee-for-  
2 service to MA, then also from MA to fee-for-service, but it  
3 didn't really have anything within plans.

4 DR. HOADLEY: Okay. And my other questions, the  
5 call letter for 2015 I think had some risk adjustment stuff  
6 in it, but I'm guessing it's more down in the weeds relative  
7 to the kinds of issues we're talking about here, do you  
8 know?

9 DR. ZABINSKI: Yeah, that's true. Yeah.

10 DR. HOADLEY: Okay.

11 DR. NAYLOR: Great report. Slide 16. So on the  
12 one that looks like it may have some benefits for some  
13 groups, adding prior-year costs, the literature you referred  
14 to suggested using non-preventable hospitalizations as a  
15 proxy. How available are data?

16 DR. ZABINSKI: That's exactly -- you know, I tried  
17 to sort of hint at that. You know, I did some digging in  
18 that, and it's sort of like -- I view it as a potentially  
19 good idea, but we don't know a lot about it. The real firm  
20 information, as far as I could gather, isn't really there.

21 DR. NAYLOR: So really what we have right now is  
22 the actual total costs, not --

1 DR. ZABINSKI: Yeah, literally put in the person's  
2 prior-year costs within the model and see how, you know,  
3 things shook out from there.

4 DR. NAYLOR: And a second one. A prior  
5 recommendation around total number of conditions, where is  
6 that? I mean, has that been embraced and is that part of  
7 HCCs?

8 DR. ZABINSKI: No, it is not. Mark, is there some  
9 discussion on the Hill about it at all?

10 DR. MARK MILLER: We have talked to CMS, we have  
11 talked to the Hill about it. You know, everybody  
12 understands it. I can't really give you a good explanation  
13 as to why or whether CMS is going to contemplate putting it  
14 in their model. They can do it without legislation.

15 DR. NAYLOR: Okay. Thank you

16 MR. BUTLER: So I'm not sure I understand the  
17 slide, but let me try, because it seems a little  
18 counterintuitive to what -- when we look at data in our own  
19 organization. Chronic illness by itself is not nearly as  
20 expensive as when it explodes into an acute episode. I  
21 think this is a little bit of Rita's point. And so you  
22 might have hypertension, you might be institutionalized, you

1 might be 90 years old, but it's only when you have the  
2 stroke or something that you really kind of shoot the lights  
3 out in terms of expenses.

4           Is that what you're trying to adjust for in the  
5 last two columns, and you're saying that's not a good  
6 predictor, or --

7           DR. ZABINSKI: I think that's one -- yeah.  
8 Basically, you know, it's just to -- you know, it's a more -  
9 - yeah. I'm sort of yammering here. I'm trying not to.

10           I would say yes, a single-word answer. Okay?

11           [Laughter.]

12           DR. MARK MILLER: The way I would think about  
13 this, this is almost -- I mean, this is like saying after a  
14 certain dollar spent, you're indemnified. It's just that  
15 the plan's not at risk. And I think what -- and, Dan, just  
16 make sure this is all correct. What those last two columns  
17 are telling you is it really doesn't have much effect until  
18 you get way up into that last category. You get a shift  
19 from 0.71 on the left-hand side to 0.81. You get a little  
20 bit better prediction there because you've truncated the  
21 cost at some point.

22           MR. HACKBARTH: So let me ask this: On the final

1 slide -- and keep this one up, but on the final slide, you  
2 say -- and there's a question of how much should we try to  
3 accomplish through risk adjustment versus administrative  
4 options. And one of the administrative options is a  
5 catastrophic cap on plan losses. How is that different from  
6 truncating --

7 DR. ZABINSKI: The idea on the last slide was like  
8 sort of a general, you know, plan-wide truncation. This is  
9 a beneficiary specific.

10 MR. HACKBARTH: Okay. That's what I thought.

11 DR. ZABINSKI: It's a pretty fine line, but  
12 they're a little bit different.

13 MR. HACKBARTH: So just to make sure that I  
14 understand, under the administrative option described on the  
15 last page, you would look at total plan costs, and when the  
16 loss exceeds some threshold, the government would pick up  
17 some of it as opposed to this patient's specific limitation.

18 DR. ZABINSKI: Correct, you know, something like  
19 risk corridor idea.

20 DR. CHERNEW: I have the same sort -- so I  
21 understand that if you truncate, you can predict better in  
22 the higher cost because the data is -- you've thrown out

1 these outliers, so it just makes the prediction work better  
2 in the tails. But that money still got spent somewhere, so  
3 this doesn't tie to a particular policy. This is -- if I  
4 understand correctly, this is just a statistical exercise  
5 that shows if you throw some of the particularly noisy  
6 observations, you can predict the particularly problematic  
7 cells better. But it's not tied at all to your question  
8 about then those -- that money was actually really spent and  
9 someone has to pay for it. That doesn't tie to whether  
10 that's the plan or an administrative option or anything like  
11 that, if I understand the exchange you just had.

12 MR. HACKBARTH: I assume that the implication of  
13 this is that the government would pick up the amount above  
14 \$250,000 per patient.

15 DR. ZABINSKI: Correct.

16 MR. HACKBARTH: And so it would -- and so they  
17 would -- somehow the plan would not be held responsible.

18 DR. CHERNEW: Let me ask a clarifying question  
19 then. The differences between, say, the standard model and  
20 the truncated model, you could run the exact same model,  
21 keeping the dependent variable exactly the way it is, no  
22 truncation or anything, and then you could put the

1 administrative overlay on it and ask what happens, or you  
2 could go into the actual statistical model you ran, truncate  
3 the spending in an individual level way, and then rerun the  
4 statistics.

5 I thought you did the latter, that you were  
6 actually changing the data in the statistical model you ran  
7 as opposed to simulating a reinsurance model overlaid on top  
8 of the original standard model.

9 DR. ZABINSKI: Well, you're right, Mike. Okay.  
10 Each person, if somebody exceeded a threshold, their left-  
11 hand side variable stop -- you know, like if somebody  
12 exceeded \$100,000, it was just 100K, and then you get new  
13 coefficients. But then people who exceed the threshold,  
14 then the government steps in and pays the plan. If it was  
15 \$105,000 per person, the government would step in and pay  
16 the plan, \$5,000 for that person.

17 DR. CHERNEW: But they could do that using either  
18 the model you estimated on the far right or the model you  
19 estimated on the far left. The difference between the far  
20 left and the far right is that the coefficient is a little  
21 different because the way you did the statistics. But you  
22 could have the reinsurance scheme you just described using

1 either of the models.

2 DR. ZABINSKI: Correct. Yes.

3 DR. BAICKER: There's a difference in saying how -  
4 - the difference you're trying to draw is in one version,  
5 you're trying to predict something that's easier to predict,  
6 because you've made in less noisy. In the other one, you're  
7 saying the things that we mis-predict, we will cover you  
8 for, but we're still scoring our prediction model based on  
9 trying to predict the full spectrum versus saying we're  
10 cutting off the really high stuff and then trying to  
11 predict.

12 DR. CHERNEW: What I would have said is saying  
13 that the model on the right is better statistically doesn't  
14 really make sense, because you're not just changing the  
15 model. You're also changing this other policy variable, and  
16 so it is better not just because of the model. You're  
17 adding on this other thing.

18 If you want to say how bad is the plan, you could  
19 do the model on the left, and it might predict a lot better,  
20 too, if you had the reinsurance component.

21 DR. MARK MILLER: I think the point is, at least  
22 in the statistical concept, statistical -- doesn't make much

1 difference.

2 DR. CHERNEW: That, we agree on.

3 MR. GRADISON: May I pick up on that one?

4 MR. HACKBARTH: You are welcome to pick that up  
5 any time you want.

6 MR. GRADISON: To the extent that even with the  
7 best of efforts, risk adjustment is going to have its  
8 limitation, some form of reinsurance or outlier payment is  
9 certainly going to be necessary as a correction, at least  
10 that's the way I think about it.

11 I wish you could do a little more work with  
12 perhaps the addition to the possibility that there be some  
13 degree of risk retention. The 100 or the 250 is kind of --  
14 it's fine, but it assumes 100 percent above the cap, and I  
15 don't know if there's any literature available that might  
16 shed some light on this, but there might be, because it  
17 doesn't have to be 100 percent, and it might be useful to  
18 consider at a later stage in our discussion.

19 The other thing I wanted to ask you about is sort  
20 of an operational question, but maybe it's a little bit more  
21 to this. My understanding is that the predictive value of  
22 knowing expenditures for the year 2010 -- pardon me -- for

1 the year 2011 was improved by knowing about the actual  
2 expenditures in the year before.

3 DR. ZABINSKI: Correct.

4 MR. GRADISON: That's a point.

5 Operationally, knowing that and let's say you want  
6 to do something about it, what would you do? That is to  
7 say, how long does it take to get that data? Would it mean  
8 in effect that the additional adjustment, plus or minus,  
9 would be made at the end of 2011, or into 2012? You don't  
10 know January 1st, 2011, the actual expenditures in 2010, so  
11 just tell me how you operationalize that idea, if you wanted  
12 to.

13 DR. ZABINSKI: Okay. I'm not trying to get off  
14 track, but CMS already faces somewhat of a problem with that  
15 with the conditions.

16 MR. GRADISON: Yes.

17 DR. ZABINSKI: They don't know at the -- at the  
18 start of 2011, they don't know all the conditions that  
19 existed in 2010 till sometime -- but it's a few months. I'm  
20 not sure how long it is exactly.

21 I think probably the cost data, it would probably  
22 take about the same amount of time, but it's not like till

1 the end of 2011. It's a little while in.

2 MR. GRADISON: Thank you.

3 MR. HACKBARTH: So, Dan, the statistics are beyond  
4 me. I might have at best a little understanding of that  
5 part of it.

6 What I'm trying to figure out is what the policy  
7 implications are here, and here's the story that I'm  
8 hearing, and tell me if I've got it right or where I've  
9 missed.

10 You describe a history of significant improvement  
11 over time in the risk adjustment system used. In our  
12 previous report, we identified a couple -- seemingly to me,  
13 some pretty easy additional fixes, like the multiple  
14 conditions, et cetera, which would improve things still  
15 further.

16 We've also had a change in the administrative  
17 framework, including annual open enrollment, which has also  
18 contributed to reducing the selection problem.

19 You went through -- summarized here some different  
20 ways to modify the model, and none of them look like they're  
21 clear, dramatic improvements in what we've got.

22 And then on the final page, you say that there are

1 some other administrative options here. The message, I  
2 think I'm hearing is this problem is diminishing over time,  
3 and we ought to be careful to the ill effects of trying to  
4 get further improvements. They don't come free, so to  
5 speak, and so we may want to do some easier things to do.  
6 Like our old recommendations of a year or two ago, it may be  
7 these administrative things.

8 Am I hearing the story --

9 DR. ZABINSKI: I couldn't have said it better  
10 myself. I mean, that's pretty spot on.

11 I think one other thing to add to that is the GAO  
12 report about the profitability of the plans and who is most  
13 profitable, are the SNFs supposed to be the ones that have  
14 the high-cost people who are supposed to be underpaid for,  
15 and that's one more thing to add to that and consider  
16 throughout all this. Things in terms of what's actually  
17 going on don't look too bad.

18 MR. HACKBARTH: Okay.

19 DR. MARK MILLER: And I thought you did that  
20 really well, and I think some of the question for us is do  
21 we want as a Commission and staff -- should we be spending  
22 lots more time trying to grind through models and figuring

1 stuff out, or maybe the conversation should open up and talk  
2 about what about around, if you will, in the administrative  
3 structure, the MA plans might be more constructive.

4 So for example, in addition to the stuff that's  
5 set up here, some people have been talking about opening up  
6 longer periods for enrollment as opposed to what's happening  
7 now where you have sort of the annual enrollment period.  
8 That could have implications we've seen in those types of  
9 questions.

10 DR. CHERNEW: I have a round two.

11 MR. HACKBARTH: Yeah. We are now into round two.  
12 So I have Mike. Let me see other hands for round two.  
13 We'll go this way this time. Jack, Cori, Alice, and then  
14 over here.

15 DR. CHERNEW: This is sort of a borderline  
16 question, round one, two, but my question is the predictive  
17 ratios I am most interested are not predictive ratios that  
18 you have reported, which would be the plans have some mix of  
19 the lowest decile and the highest decile people, and the  
20 extent to which the under-over prediction matters, as you  
21 write in the chapter, depends on the extent to which some  
22 plans systematically get people in the upper decile or lower

1 decile groups.

2           And do you -- and in fact, really, that makes  
3 sense, which they can even manipulate that. But do you have  
4 the ability to do predictive ratios with existing plan  
5 composition as opposed to subgroups of people in different  
6 deciles of spending? The real question about how bad this  
7 is, is if you looked at a plan's predictive ratio as opposed  
8 to a beneficiary subgroup's predicted ratio.

9           DR. ZABINSKI: Let's see. The only thing that  
10 gives me pause to say yes is just knowing -- you need to  
11 know what individual-level costs are, and that's not yet  
12 available. Scott is shaking no, not coming anytime soon.

13           I could make some effort at a simulation, find  
14 people in fee-for-service that sort of match up to what a  
15 plan has, that sort of thing. Something like that might be  
16 possible.

17           DR. HOADLEY: Yeah. I come down very much where  
18 you were going, Glenn, in the sense that it feels like this  
19 is an area where it's not particularly broken.

20           Some of the things we're talking about here as  
21 potential solutions are things that were addressed, for  
22 example, in Part D at the beginning to say, okay, yeah,

1 let's do a bunch of reinsurance and risk corridors and  
2 things to make sure we get plans in the market. We've got  
3 plans in this market. Unless we have clear evidence that  
4 plans are struggling to sort of live within the risk  
5 adjustment -- but obviously, it would be nice to have it  
6 work as well as possible. But it strikes me that a number  
7 of the solutions we've got here sort of go beyond the scope  
8 of the problem.

9           On the administrative measure side, the one, I  
10 guess, that kind of intrigues me is this notion of what's  
11 going on with the disenrollees, and whether that's something  
12 where we ought to do some more analysis, update -- I know  
13 you did some analysis at some point in the past. I don't  
14 know how many years ago that was and what year's date was  
15 that. Do you know what year's data that was based on?

16           DR. ZABINSKI: Right, right. I think it was '07,  
17 '08.

18           DR. HOADLEY: So if there's an ability to look at  
19 much more current data and see -- obviously, we look at  
20 Newhouse's work and so forth, but -- and possibly be looking  
21 at both then disenrollees to fee-for-service, which is maybe  
22 the only thing we can look at, but to the extent that we can

1 look at least a little bit at the kinds of people who  
2 disenrolled to other plans, so if some plans are shedding  
3 people to other plans, but getting a better sense of is that  
4 a sign of a problem, and therefore, is that kind of an  
5 administrative measure, I think that's a helpful analysis.  
6 And it's just useful to kind of get a sense, anyway, of what  
7 the patterns of exist and entry -- and this would be the  
8 exit side -- are in general. So, I mean, I think that's  
9 useful information, even if it doesn't lead us to a  
10 particular change in how we handle this issue.

11 MS. UCCELLO: So, yeah, I would agree with both  
12 Jack and what you were saying, and I think it might be  
13 helpful just to kind of step back and think about -- you  
14 know, there were kind of two reasons why we care about risk  
15 adjustment. One is we want to pay plans according to the  
16 risk that they're bearing, and if we don't, that means  
17 they're either going to suffer losses or windfall gains.  
18 And the way to look at that is kind of what Mike -- the  
19 analysis that Mike suggested that we may or may not be able  
20 to do kind of on an aggregate plan level.

21 The other reason we care is because we don't want  
22 plans to systematically avoid or target people that are --

1 they know they will either gain from and avoid the people  
2 that they would lose from. So in terms of selection, we  
3 care, but we care about that really only to the extent that  
4 these factors are known ahead of time.

5           So looking at something on a concurrent level, on  
6 a concurrent basis, well you can't select on that, so it  
7 doesn't make any sense from that -- if you're trying to  
8 worry about selection issues, that doesn't make sense.

9           And just looking overall, it's not clear at this  
10 slide that any of these models are necessarily any better  
11 than what we have, especially considering the potential bad  
12 effects that we would be worried about.

13           In terms of the administrative options, I, too, am  
14 curious about these disenrollment penalties.

15           In terms of these catastrophic caps, these are, as  
16 you said, essentially risk corridors, and I think this might  
17 be the third meeting in a row that I've talked about risk  
18 corridors and how you really only need risk corridors when  
19 there is a lot of uncertainty, when the plans have a lot of  
20 uncertainty and who they are going to enroll and what those  
21 costs are going to be for those people. MA plans have been  
22 around a long time now. There shouldn't be a real high

1 level of this uncertainty when they are pricing their  
2 products, so I don't think that that's necessarily somewhere  
3 we want to go. But this disenrollment, this seems to make  
4 more sense to pursue.

5 DR. COOMBS: So I have two questions, Dan. Is CMS  
6 actually looking at other risk-adjustor instruments, other  
7 than HCC?

8 DR. ZABINSKI: Not that I'm aware of. I think  
9 this is what they're -- they did a lot of work initially  
10 before implementing this, and I think they feel good about  
11 it. So I'm not aware of them going in any other direction.

12 DR. COOMBS: Okay. And then the other question or  
13 concern I had about the penalties on disenrollees is the  
14 acquired information that's gathered from the plans in terms  
15 of what information is accrued as a result and how a plan  
16 might be able to better select who is more likely to  
17 disenroll. It may influence decision-making on the front  
18 side of a plan, beneficiary entry into the plans.

19 DR. SAMITT: So I have two questions. I'm not so  
20 sure I'd be ready to kind of give up on improving the risk  
21 adjustment methodology, and I guess my question is, if we  
22 look beyond CMS to again the private sector, is there any

1 progress being made in predictive modeling? That perhaps  
2 there are other factors here that would correlate more  
3 effectively to predictive futures, and would it be worth  
4 understanding if anyone has come up with a better mousetrap  
5 in that regard and modeling based upon additional variables  
6 that we have yet to identify?

7 DR. ZABINSKI: There's other models. In the  
8 private sector and in the Medicaid, there's other models  
9 that are used, and personally, I haven't looked at them in  
10 terms of how well they would do in Medicare relative to the  
11 CMS-HCC.

12 They do have -- there is some degree of similarity  
13 among those other models relative to the CMS-HCC. They  
14 don't typically use conditions from the previous year,  
15 although the big difference is how they are organized  
16 oftentimes. It might be worthwhile looking at how they  
17 perform, because I'm not aware of anything that's been done  
18 really recently on it, so --

19 MR. HACKBARTH: So they are available. They're  
20 not proprietary?

21 DR. ZABINSKI: No, I don't think so.

22 DR. CHERNEW: There is a proprietary, more

1 detailed version of this model. There's other versions by  
2 the same company of this that are used in a whole bunch of  
3 ways, and there's a bunch of other models as well. I'm not  
4 sure they're better. This one is I think the one done by --  
5 originally, it was Verisk, so there's a lot of work going on  
6 in the private sector. I don't think any stunningly better.

7 DR. SAMITT: I guess I'd be most interested,  
8 especially in the upper -- the more complex patients, what  
9 additional variables in that echelon are missing, aside from  
10 just measuring prior year cost, that can really account for  
11 that, you know, is there a higher penetration of dual  
12 eligibles that fall into that category or other variables  
13 that would potentially create this discrepancy, but you guys  
14 would certainly know better.

15 My second question, I want to tag on to Jack's  
16 comments about sort of understanding the themes in  
17 disenrollment. I'd be very curious to hear about that as  
18 well, and I wonder whether there's capacity to do so, not  
19 just objectively but subjectively. Do we do disenrollment  
20 surveys of beneficiaries who either change plans or shift  
21 from MA plans back to fee-for-service? I'd be curious to  
22 hear what the beneficiaries say and whether that provides

1 useful information about where they are coming from, where  
2 they are going do, and what some of the drivers are.

3 DR. MARK MILLER: Carlos, do you want to -- you  
4 can get Joan to do it, if you'd like.

5 [Laughter.]

6 MR. ZARABOZO: Now that I understand your  
7 question, I think Joan should answer it, so, Joan?

8 [Laughter.]

9 MR. ZARABOZO: There is -- disenrollment rates is  
10 part of the star rating system, so they do track the  
11 disenrollments, and there are also reasons they are coded  
12 for why are you disenrolling.

13 Now, the rates that they report in the stars  
14 include not just going to fee-for-service but also going to  
15 another plan.

16 This year, they will be doing disenrollment  
17 surveys to get more information about why people are  
18 disenrolling, and we have asked to get the currently  
19 available information, which is the coding on why these  
20 people disenrolled, and so presumably, when they do, there's  
21 a disenrollment survey. We'll also get more information  
22 from CMS about what the major reasons are for people

1 disenrolling.

2 DR. SAMITT: Great. Thank you.

3 DR. MARK MILLER: And I feel like a thread that is  
4 forming up here based on some comments here and here is that  
5 maybe a step for us is to both quantitatively, you know,  
6 look at the disenrollment data, and then also go through  
7 some of the CAHPS stuff and whatever else we can find and  
8 see what we're hearing on this issue.

9 DR. REDBERG: I wanted to agree with you, Glenn,  
10 that the way I read the chapters and your presentation is  
11 that we seem to be doing pretty well on risk adjustment, and  
12 I'm not sure how much time it's worth to kind of change it  
13 around the margins, because there's never a perfect risk  
14 adjustment models. And I do think it's worth looking a  
15 little more at disenrollment figures and learning what we  
16 can from that.

17 But I did want to state my serious concerns about  
18 the suggestion that the plans cap at 100K or 250K and not  
19 have responsibility past that, because I feel like that's  
20 not consistent with our principles that we've been talking  
21 about just as recently as yesterday about paying  
22 appropriately for care that helps our beneficiaries. And

1 that's why I was asking those questions, that I think we  
2 need to understand a little more about what we are paying  
3 for on those high-cost enrollees, because we do know that a  
4 large portion of Medicare costs are in the last 6 months of  
5 life, and we also know that a lot of those costs are not  
6 consistent with patients' own preferences, and that most  
7 patients that say they do not want aggressive care at the  
8 end of life and would prefer to die at home for reasons that  
9 do not involve informed consent and shared decision-making  
10 die very expensive deaths in the hospital. And I just think  
11 we need to know more about those high-cost beneficiaries  
12 before we just say to plans, "We'll cover you for all this  
13 care," and then we could evaluate those options.

14 MR. HACKBARTH: Others?

15 DR. BAICKER: So I really liked Cori's framework  
16 of thinking about why we care about risk adjustment and why  
17 we might want to have reinsurance or not, and I find the  
18 reinsurance a little baffling. If it were just that you  
19 want to provide -- make sure there is no disincentive for  
20 enrolling sick people, then it's about how predictable those  
21 expenses are.

22 I have to think that on a plan pool basis, there

1 is very little reinsurable risk. Yes, there's some very  
2 expensive people, but these plans cover enough people that  
3 they should be able to weather that risk, except if they are  
4 cherrypicking to avoid it, in which case that needs to be  
5 built in. So I am even less concerned in some ways about  
6 when we're over-predicting on the low and not so well  
7 predicting on the high end. That seems okay as long as  
8 they're doing the same.

9 I also think it's really more promising to drill  
10 down into the disenrollment, because that seems like the  
11 margin in which selection is still taking place the most  
12 strongly. The HCC model seems to have done a good job on  
13 the enrollment selection. There's always room for  
14 improvement, but I, too, am hesitant about building in  
15 things that are more endogenous, because I think you're then  
16 -- the solution may be worse than the cure.

17 But the solution may be worse than the problem.  
18 Cures are good; problems are bad. Fraud, totally bad.  
19 Waste, the jury is still out.

20 MR. HACKBARTH: [Off microphone.]

21 [Laughter.]

22 DR. BAICKER: So the -- you're never going to live

1 that down.

2           The disenrollment margin, on the other hand, it  
3 seems like there is still evidence that there may be  
4 substantial activity in selection on disenrollment, and  
5 that's not only bad for overpaying potentially. It's also  
6 bad from the point of view of care for beneficiaries, that  
7 that may be a place where people are having transitions at  
8 just the time where transitions are not good for their  
9 health and where it's bad for coordination, and so this  
10 seems like the place where I would devote more energy to  
11 understanding what's going on and to seeing if the solutions  
12 are better than the problems.

13           MR. GRADISON: I'd just like to say how pleased I  
14 am to hear the discussion about trying to examine the data  
15 that is available with regard to disenrollment. I'd like to  
16 cast that in a broader context. I hope over time, we are  
17 also able to learn more about what leads people who have the  
18 fee-for-service option to decide to go into the plans, not  
19 just to leave them, and also how that may vary from the new  
20 cohort of folks that are becoming eligible for Medicare for  
21 the first time as against those who have been subject --  
22 covered by the fee-for-service system for some time in the

1 past.

2           With regard to the -- I guess I brought up  
3 particularly the matter of reinsurance and outliers. It  
4 wasn't with the thought that necessarily anything ought to  
5 be done there, but that it ought to be examined. And that's  
6 why I use the word "retention," the question being to what  
7 degree should some portion of that risk for the very  
8 expensive cases remain with the plans, how to balance that.  
9 Maybe zero. I understand your point, but I'm not sure.

10           That question also could have a differential  
11 impact, arguably, on whether new plans want to come into a  
12 market, because if you're small -- if you're new and  
13 potentially small, the averaging may not work very well for  
14 you and might in itself be an impediment to even entering a  
15 market. I'm not trying to argue the case one way or the  
16 other, but to frankly encourage the staff to give some  
17 thought to that issue.

18           MR. HACKBARTH: So on that question of entry, what  
19 do we know about new entrants into the market, not the same  
20 organization offering new places, but completely new entry  
21 into the Medicare Advantage program? Do we have any  
22 information?

1 MR. ZARABOZO: Beneficiaries or plans?

2 MR. HACKBARTH: Plans.

3 DR. MARK MILLER: I'll tell you what, Carlos,  
4 don't go to the microphone.

5 Actually, we have some information that came in  
6 like the day before the meeting, and there were some e-mail  
7 exchanges among us, and we're not quite gelled on this,  
8 okay? And so what I'd like to do is answer your question  
9 but not do a smash-and-grab right here.

10 MR. HACKBARTH: Okay.

11 DR. MARK MILLER: Okay.

12 DR. HALL: I think Craig mentioned is there still  
13 room for another widget in risk adjustment, and something  
14 that I've been thinking about for other reasons was that  
15 there's been a lot of clinical literature this past year on,  
16 if you will, kind of the epidemiology of 30-day readmissions  
17 after initial hospitalization. This is because under the  
18 ACA, hospitals started being penalized for readmissions  
19 above a certain rate, and even more so in 2014. So there's  
20 a lot of data on 30-day readmission.

21 What's interested me in that is if you look at  
22 Medicare recipients who get re-hospitalized within 30 days,

1 in three major categories at the present time, which would  
2 be heart attack, heart failure, or pneumonia, about 20  
3 percent of them are actually readmitted. And I think that  
4 forms one of the groups of very, very high-risk people.

5 But the interesting thing is that in the vast  
6 majority of instances, they are admitted with ICD-9  
7 diagnoses that are totally different than the initial  
8 admission. So that while the intent of looking at 30-day  
9 readmissions was to identify bad care and insufficient care,  
10 what I think it's identifying is a category of Medicare  
11 recipients who really have just basically very bad  
12 protoplasm, and the ancillary literature to this has  
13 demonstrated that the various things that we've tried in  
14 terms of post-acute care, different models of care in the  
15 hospital, it doesn't seem to make a great deal of  
16 difference.

17 So I would wonder if we're doing this either  
18 prospectively or concurrently, if we could just take a very  
19 quick look at 30-day readmissions as another indicator.  
20 It's very available now. Virtually, every hospital in the  
21 country has to look at this carefully, but I think we're  
22 identifying a new kind of phase of aging that I think we

1 kind of missed in the past. So I'd like to throw that out  
2 as a suggestion.

3 MR. HACKBARTH: Okay. Any additional comments or  
4 questions? Jack?

5 DR. HOADLEY: I am going to make a quick follow-up  
6 on Bill Gradison's point and Mark's response.

7 I'm not sure quite what you were looking at, but  
8 one of the questions that I would have in my hypothesis is  
9 that the new enrolls in a new plan -- enrollees in a new  
10 plan tend to be healthier on average, and I don't know if  
11 that's what you were thinking you can bring in, but that's  
12 the kind of question I have about new entries.

13 MR. HACKBARTH: And I detect in Bill's comments, a  
14 question about whether now the beneficiaries newly aging  
15 into Medicare might be different on various dimensions,  
16 including potentially that one. So to the extent that newly  
17 eligible beneficiaries have become used to various types of  
18 managed care during their working lives, they may both  
19 enroll in larger numbers and with a different risk profile  
20 than beneficiaries who were accustomed to fee-for-service.

21 MR. GRADISON: The issue that leads me to wonder  
22 about this is I had expected the proportion of Medicare

1 beneficiaries covered by MA plans to go down. I don't think  
2 I was alone in that anticipation, but at any way, it's been  
3 going up. And I'm wondering why is it going up and who are  
4 these folks and why are they doing it.

5           If it is as -- and my hypothesis is, just as  
6 you're suggesting, it's folks for whom the MA plans are  
7 closer to their experience during their younger years, and I  
8 know the staff is giving some thought to trying to  
9 understand that. I very much appreciate the conversations  
10 I've had with them about that.

11           The implications of that to me are quite enormous,  
12 because if there's anything to that, then to me, it opens up  
13 a whole series of questions about whether we offer enough  
14 options for people under Medicare to match their previous  
15 experience, or to say it another way -- I don't want to use  
16 the term "premium support," but whether if somebody chooses  
17 to stay, let's say, with their employer's concurrence in  
18 their retirement years, could a sum of money follow that,  
19 which would be reasonably related to the risk profile but  
20 not out of line with the normal fee-for-service payment. I  
21 mean, if that door opens with regard to the data, then I  
22 think it opens to some, I think, very important questions,

1 which is one of the reasons I was talking yesterday about  
2 the question of the COD [phonetic].

3 DR. MARK MILLER: I'm talking away from the  
4 immediate conversation for work -- and this is not to  
5 dismiss other comments but just the priorities. I think we  
6 are going to do a fairly deep dive on disenrollment. We are  
7 going to come back to you on a new entrant's type of  
8 question from two dimensions.

9 We do have some new information on new  
10 organizations not quite gelled, and we'll grind through that  
11 and make sure that it's wired. And then we have already  
12 launched on an analysis of the person who are coming in, are  
13 they headed more to managed care plans, and what do they  
14 look like, and so that thread is running as well.

15 There are some other things that were said here.  
16 I'm not dismissing them. I have taken notes on all of  
17 those, but I would definitely expect to see those three  
18 things to show up in front of you again at some point in the  
19 future.

20 MR. HACKBARTH: I think we're at the end of this  
21 one, unless anybody wants to make one last final comment.

22 [No response.]

1           MR. HACKBARTH: Seeing none, thank you, Dan. It  
2 was enjoyable. We're all awake, even stimulated.

3           Okay. We will now have our public comment period.

4           [No response.]

5           MR. HACKBARTH: Seeing none, thank you all, and  
6 see you in April.

7           [Whereupon, at 11:29 a.m., the Commission meeting  
8 was concluded.]

9

10

11

12

13

14

15

16

17

18

19

20

21

22