

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom  
Ronald Reagan Building  
International Trade Center  
1300 Pennsylvania Avenue, NW  
Washington, D.C. 20004

Thursday, April 5, 2018  
9:13 a.m.

COMMISSIONERS PRESENT:

FRANCIS J. CROSSON, MD, Chair  
JON B. CHRISTIANSON, PhD, Vice Chair  
AMY BRICKER, RPh  
KATHY BUTO, MPA  
ALICE COOMBS, MD  
BRIAN DeBUSK, PhD  
PAUL GINSBURG, PhD  
DAVID GRABOWSKI, PhD  
JACK HOADLEY, PhD  
DAVID NERENZ, PhD  
BRUCE PYENSON, FSA, MAAA  
RITA REDBERG, MD, MSc  
DANA GELB SAFRAN, ScD  
CRAIG SAMITT, MD, MBA  
WARNER THOMAS, MBA  
SUSAN THOMPSON, MS, RN  
PAT WANG, JD

AGENDA	PAGE
Using payment to ensure appropriate access to and use of hospital emergency department services - Zach Gaumer, Jeff Stensland, Sydney McClendon.....	3
Medicare Advantage encounter data validation and potential uses - Andy Johnson, Jennifer Podulka.....	61
Public Comment.....	114
Uniform outcome measures for post-acute care - Carol Carter.....	120
Applying the Commission's principles for measuring quality: Hospital quality incentives - Ledia Tabor, Jeff Stensland.....	158
Medicare coverage policy and use of low-value care - Ariel Winter, Nancy Ray, Carlos Zarabozo, - Emma Achola, Sydney McClendon.....	204
Public Comment.....	259

P R O C E E D I N G S

1

2

[9:13 a.m.]

3

DR. CROSSON: I'd like to welcome our guests.

4 This is the April meeting, which is the last meeting of the

5 MedPAC work year.

6

I do have one request both of the Commissioners

7 and staff and the audience. There's apparently going to be

8 a test of the Emergency Broadcast System in the D.C.

9 metropolitan area sometime between 10:00 and 11:00, and as

10 I understand it, everyone's cell phone is going to screech

11 at the same moment. So I would ask you, if you don't have

12 a pending emergency, to turn your cell phones off from

13 10:00 to 11:00 so we don't have the meeting totally

14 disrupted and everybody deafened at the same moment.

15 That said, we'll proceed with the first order of

16 business, which is a continuation of work that we've done

17 on the availability of emergency room services. Jeff,

18 Zach, and Sydney are here to begin. Who's going to start

19 the discussion? Sydney, it's yours.

20 \* MS. McCLENDON: Thank you.

21 So good morning. Today we revisit our discussion

22 on ways to ensure appropriate access and use of emergency

1 care in both rural and urban areas.

2           We have discussed stand-alone EDs on multiple  
3 occasions over the course of the last few cycles. We  
4 published report chapters on this topic in the Commission's  
5 June 2016 and 2017 reports, and we anticipate publishing  
6 another chapter in June 2018 containing the Commission's  
7 policy recommendations, which we will vote on today.

8           We will begin today by reviewing recent growth in  
9 ED use, stand-alone ED facilities, and payment incentives  
10 related to ED use. Zach will then walk through concerns  
11 about urban stand-alone EDs, and Jeff will discuss rural ED  
12 access concerns. The Chairman will then lead the  
13 discussion and initiate a Commission vote.

14           In recent years, the volume of overall ED cases  
15 in Medicare has grown rapidly. From 2010 to 2016,  
16 emergency department use in Medicare grew faster than ED  
17 use nationwide and Medicare physician visits. As a part of  
18 this, we have seen the Medicare volume of the two highest-  
19 paying levels of ED visits, Levels 4 and 5, grow as a share  
20 of all ED visits.

21           Together this higher volume of visits and the  
22 growing share of high-paying visits means that between 2010

1 and 2016 Medicare outpatient ED payments per beneficiary  
2 increased 72 percent.

3 Over the same period, we have seen a new trend  
4 emerge, which is the development and use of stand-alone  
5 EDs. In 2017, we counted approximately 550 to 600 of these  
6 facilities, most of which have opened since 2010.  
7 Approximately two-thirds of stand-alone EDs are affiliated  
8 with a hospital and deemed provider-based, which allows  
9 them to bill Medicare.

10 There may be incentives in the Medicare payment  
11 system driving the greater use of ED services.

12 The first incentive is that Medicare pays two  
13 different rates for ED services. There are Type A rates,  
14 which are for EDs open 24 hours a day, 7 days a week, and  
15 most Medicare ED claims are for these Type A rates. The  
16 less common Type B rates are for facilities open less than  
17 24/7, and on average Type B rates are approximately 30  
18 percent lower than Type A rates. The difference in rates  
19 encourages the development of Type A facilities, even when  
20 they may not be appropriate.

21 The second incentive is that Medicare payment for  
22 ED services provided at a facility off the main hospital

1 campus are dependent on distance criteria. Currently, if  
2 an OCED is within 35 miles of the hospital it is affiliated  
3 with, it will receive the full Type A rates. If the OCED  
4 is more than 35 miles from its affiliated hospital,  
5 however, the facility cannot bill Medicare for ED rates and  
6 instead would only receive physician fee schedule payment  
7 rates. Therefore, hospitals are disincentivized from  
8 having OCEDs in isolated areas because they cannot receive  
9 the higher ED payment rates.

10 I'll now turn it over to Zach to discuss concerns  
11 related to the growth of stand-alone EDs in urban areas.

12 MR. GAUMER: So among the facts the Commission  
13 has discussed about these facilities in the past are that  
14 the number of stand-alone EDs in several markets has grown  
15 rapidly in the past few years. Multiple studies indicate  
16 that these facilities tend to locate in higher-income areas  
17 where patients with higher rates of private insurance  
18 reside.

19 In addition, Medicare payment rates to stand-  
20 alone EDs may be too high because studies show that stand-  
21 alone EDs have lower patient severity and lower standby  
22 costs than on-campus hospital EDs. In interviews and in

1 site visits, we observed for ourselves that most stand-  
2 alone EDs are open 24 hours a day, but do not maintain  
3 operating rooms, trauma teams, or have specialists on call.  
4 In addition, ambulance drivers typically bypass stand-alone  
5 EDs in favor of the on-campus emergency department.  
6 Despite their lower standby costs, stand-alone EDs receive  
7 Medicare payments that are equal to on-campus hospital EDs.

8           At our March meeting some Commissioners asked how  
9 we arrived at our proposed 30 percent reduction to Type A  
10 payment rates.

11           In the course of our research we observed that  
12 the patient acuity mix of stand-alone EDs in three states  
13 was reasonably similar to the patient acuity mix of  
14 Medicare Type B claims. We also estimated that Type B  
15 payment rates are approximately 30 percent lower than Type  
16 A rates, on average. However, the Type B rates contain an  
17 anomaly where lower-acuity Level 1 ED visits are paid more  
18 than higher-acuity Level 2 visits.

19           Therefore, the Commission came to the conclusion  
20 at the March meeting that reducing Type A rates by 30  
21 percent is more consistent across the five 5 levels of ED  
22 services and also more consistent with our policy

1 objectives of payments reflecting patient severity and  
2 standby costs.

3           In November, several of you asked us to look at  
4 the proximity of these facilities from on-campus hospital  
5 emergency departments. And after looking at five large  
6 markets where stand-alone emergency departments are common,  
7 we determined that roughly 75 percent of stand-alone EDs  
8 were located within six miles of the nearest on-campus  
9 hospital ED. We also estimated that the drive time between  
10 these facilities averaged about ten or fewer minutes. So  
11 this is the origin of our six-mile threshold.

12           Informed by our analyses of the Medicare ED  
13 payment system, trends in stand-alone EDs, and the  
14 proximity of these facilities to on-campus EDs, the  
15 rationale for the Commission's policy is threefold:

16           It would align payments with standby costs of the  
17 providers currently supplying emergency services at lower  
18 cost.

19           It would reduce the incentive to build new OCEDs  
20 near to existing sources of emergency services.

21           And it would preserve essential access to  
22 emergency services in urban communities isolated from other



1 emergency care options.

2           So after incorporating your thoughts from the  
3 March meeting, the Commission's draft recommendation reads:

4           The Congress should reduce Type A emergency  
5 department payment rates by 30 percent for off-campus  
6 stand-alone emergency departments that are within six miles  
7 of an on-campus hospital emergency department.

8           The Congressional Budget Office estimates that  
9 the spending implication of the urban recommendation is  
10 that it will reduce spending by between \$50 million and  
11 \$250 million annually.

12           For beneficiaries, the implication is that those  
13 treated at urban OCEDs that are near to on-campus hospital  
14 EDs will experience lower cost sharing.

15           For providers, the implication will depend upon  
16 the proximity of the OCED from the on-campus ED.

17           OCEDs that are within six miles of the on-campus  
18 ED will have their payment rates for ED services lowered by  
19 30 percent. This will apply to approximately 75 percent of  
20 the OCEDs that we've identified.

21           By contrast, OCEDs that are more than six miles  
22 from the on-campus hospital ED will see no change in their

1 payments for ED services, and this would apply to about 25  
2 percent of the urban OCEDs.

3           With that, I hand it off to Jeff to discuss rural  
4 policy.

5           DR. STENSLAND: As we discussed last month, the  
6 overriding rural objective is to preserve access. However,  
7 the current payment policies focus on supplemental  
8 inpatient payments for small rural hospitals and cost-based  
9 payments for critical access hospitals.

10           A key problem with both these policies is that  
11 they become increasingly inefficient as inpatient volume  
12 declines. And, more importantly, higher inpatient rates do  
13 not always result in financially viable hospitals, which  
14 can threaten emergency access in rural areas. As we  
15 discussed in your mailing materials, rural closures have  
16 increased in recent years.

17           A key reason for closures is the decline in  
18 inpatient volumes. The top yellow line shows that the  
19 median critical access hospital saw its volume of  
20 admissions fall by almost half over the past 13 years. The  
21 lower green line shows that by 2016, 10 percent of critical  
22 access hospitals had 71 or fewer admissions per year,

1 almost down to one per week. Having one admission per week  
2 causes cost per discharge problems and can raise quality  
3 concerns.

4           Last month Jon asked whether critical access  
5 hospital ED volume has also been declining like its  
6 inpatient volume. As we can see from this graphic, the  
7 answer is no. ED volume in critical access hospitals has  
8 actually increased.

9           The result is that the delivery of care in these  
10 small communities has shifted away from inpatient care  
11 toward outpatient care. But the only payment options  
12 available to rural communities continue to be inpatient-  
13 centric models.

14           The idea we discussed in March is to offer a new  
15 option of a 24/7 outpatient-only facility with an emergency  
16 department. A key is that the option would focus on  
17 isolated hospitals that are more than 35 miles from another  
18 hospital. We use the 35-mile cutoff because this would  
19 target hospitals that currently do not have the ability to  
20 become an outpatient department of another hospital.

21           To help fund the facility, Medicare could do the  
22 following: First, the outpatient-only hospital would get

1 PPS rates. Second, there would be an annual fixed payment  
2 amount on top of the PPS rates. The additional funds could  
3 be used to help fund standby costs, maintain emergency  
4 services, and recruit physicians.

5           And this brings us to the draft recommendation.  
6 It reads: The Congress should allow isolated rural stand-  
7 alone emergency departments more than 35 miles from another  
8 ED to bill standard outpatient prospective payment system  
9 facility fees and provide such emergency departments with  
10 annual payments to assist with fixed cost.

11           There are three key implications.

12           With respect to spending, the program would  
13 result in a slight increase in spending. Preserving  
14 hospitals that otherwise would close does add to the cost  
15 of the program. But the cost is modest because most of the  
16 program's costs would be offset by the efficiencies gained  
17 by shifting acute inpatient and post-acute patients away  
18 from expensive critical access hospital settings toward  
19 higher-volume facilities.

20           With respect to beneficiaries, the main benefit  
21 would be a preservation of emergency access. The one  
22 drawback is patients will have to travel further for

1 inpatient services, although many are already doing that.  
2 A second benefit is that outpatient coinsurance will fall  
3 substantially for beneficiaries. Coinsurance on PPS rates  
4 is usually less than 50 percent of critical access hospital  
5 coinsurance.

6           For providers I want to emphasize that this is an  
7 optional program. If they want to continue with the status  
8 quo, they can. However, in cases where a traditional  
9 inpatient-focused hospital is no longer an efficient way to  
10 deliver care, these communities could convert to an  
11 outpatient-only hospital and then maintain emergency  
12 services for members of their community.

13           This brings us to the discussion topics.

14           The first recommendation involved urban OCEDs and  
15 aligning their payments with their resource needs.

16           The second recommendation we discussed involved  
17 preserving access to emergency services in rural areas.

18           And now I turn it back to Jay to start the  
19 questions.

20           DR. CROSSON: Thank you, Jeff, Zach, and Sydney.

21           We'll take clarifying questions. I see Brian  
22 first.

1 DR. DeBUSK: I have a number of questions on the  
2 urban off-campus ED section. I'd like to start with Chart  
3 5. You talk a little bit about the lower patient severity  
4 and the lower standby costs. And this isn't a rhetorical  
5 question. Just when I was reading the chapter, I was  
6 thinking through this. If I substituted small community  
7 hospital for off-campus urban emergency department, how  
8 would this argument change?

9 MR. GAUMER: Well, so, you know, here I think we  
10 go to the site visits and the observations and interviews  
11 that we've made here going to some of these facilities and  
12 seeing what they look like and talking to folks in the  
13 industry. And there appears to be a difference in the  
14 severity between the pop-up -- you know, I'll particularly  
15 say the freestanding EDs that we saw in Texas or have  
16 talked about in Texas and doing a small number of cases, 20  
17 privately insured cases per day, versus the community  
18 hospitals that are doing -- you know, especially in urban  
19 areas that are doing significantly more cases on a daily  
20 basis.

21 DR. DeBUSK: So is it fair to say there's at  
22 least a graded response, though, you know, from a Level 1,

1 say a Level 1 trauma center to a community-based ED to an  
2 urban OCED? Is it fair to say that there is a graded shift  
3 toward lower acuity and lower standby costs as you move  
4 along that spectrum?

5 MR. GAUMER: Yes, I think that's right.

6 DR. DeBUSK: Okay. Also, I had a question on  
7 Chart 6. You were talking about the acuity of off-campus  
8 emergency department patients being similar to the acuity  
9 mix of Type B cases. Currently in the claims structure, we  
10 can't differentiate services that were provided in an off-  
11 campus emergency department versus something on-campus.  
12 How could we do those analysis if we can't differentiate  
13 the site of service?

14 MR. GAUMER: Great question. So we have examples  
15 from three states, and we also have interview data. So the  
16 information we have from three states -- this is Texas,  
17 there's a peer-reviewed journal article on using 5 million  
18 privately insured claims that, you know, to overgeneralize  
19 here, says that the severity of patients being treated in  
20 these facilities, and this includes both the IFECs and the  
21 OCEDs, okay, so that's --

22 DR. DeBUSK: So there's some contamination in --

1 MR. GAUMER: There's some contamination there for  
2 sure, but this is the best information we have. -- show  
3 that the severity of the patients being treated in these  
4 facilities falls somewhere between the on-campus hospital  
5 ED and the urgent care center. And as you know, urgent  
6 care centers are paid essentially as physician offices.

7 DR. DeBUSK: So what is that, a plus or minus,  
8 what percent swing? Sixty, 70 percent?

9 MR. GAUMER: In payment?

10 DR. DeBUSK: Mm-hmm.

11 MR. GAUMER: Yeah, so if we were to start paying  
12 urgent care facility rates, it would be about 65 percent  
13 reduction in payment rather than the 30 percent reduction  
14 in payment that we've talked about.

15 DR. DeBUSK: Okay. On page 7 you talk a little  
16 bit about these driving distances and the six-mile radius.  
17 Could you speak a little bit more to how you actually  
18 calculated those drive times and how you looked at that?  
19 Were those peak drive times in the middle of rush hour? Or  
20 were those drive times at, you know, 2:00 a.m. in the  
21 morning?

22 MR. GAUMER: Okay. I'll give you the broad



1 overview, and if you want to jump in -- Sydney did a lot of  
2 the work on this. So what we did was we picked out five  
3 markets that had a couple of criteria: lots of stand-alone  
4 emergency departments. They had both the Texas trend and  
5 the non-Texas trend. We didn't want to do this only in  
6 Houston, Dallas, and San Antonio. So we picked these  
7 markets specifically because they've got these facilities,  
8 and they were distributed around the country. So we have  
9 gotten some criticism on which markets we've picked. Some  
10 folks in the industry, the hospital industry, have said we  
11 did not pick places that are very, very congested like  
12 Boston and New York and Los Angeles and San Francisco.  
13 Boston and New York have very few, if any, of these  
14 facilities. California has outlawed stand-alone emergency  
15 departments, so we couldn't go there. So that's how we  
16 picked our markets.

17           So what we did was we had to find the location,  
18 the actually address of each one of these facilities in  
19 these five markets. After doing so, we have a program that  
20 tells us the distance, using the ArcGIS software, of a  
21 certain address to a hospital, and so we calculated that.  
22 And then the best available information for finding the

1 actual drive time was going to Google Maps and punching in  
2 the addresses of these facilities, and what we tried to do  
3 very diligently was to do this at a time of day -- our  
4 analysis was done at a time of day where there would be  
5 peak flow of traffic.

6           So, in a way, what we're saying here with our  
7 drive times of somewhere in the ten-minute range is  
8 somewhat conservative.

9           DR. DeBUSK: Okay.

10           MR. GAUMER: You know, or may be realistic or  
11 applicable to rush hour, morning rush hour. Right? We  
12 didn't do an afternoon rush hour.

13           I've missed something. What have I missed?

14           MS. McCLENDON: No, I think you're right on.

15           DR. DeBUSK: That's great. That's great. The  
16 other question is: Have we considered the industry  
17 response to this? Micro-hospitals? What's the other shoe  
18 that drops if we go forward with this?

19           MR. GAUMER: So, Jeff, why don't you talk about  
20 micro-hospitals, but we have factored in the industry  
21 response to this. For example, we got some pushback from  
22 the industry about using drive time as a threshold, and so

1 -- and I think that that sentiment was shared with you all.

2           So we pulled back and went back to Miles as a  
3 result of that, and we've been in conversation with both  
4 the hospital industry and the stand-alone emergency  
5 department industry for the last three years on this topic.  
6 So we have been talking to them, and this is not coming out  
7 of a vacuum.

8           But, Jeff, do you want to talk micro?

9           DR. STENSLAND: Yeah. So I think micro-hospitals  
10 is something we'll have to look in the future because this  
11 is the concern of we're going to pay you less for your  
12 stand-alone ED. Well, then let's make it a micro-hospital.

13           And there is this kind of a little bit of a  
14 balancing act too. I think the fact that we're reducing  
15 the rates by 30 percent and only for the Medicare share,  
16 they might say, "Okay. Well, maybe we'll still stay in  
17 emergency room."

18           Initially, there was some discussion of moving it  
19 all the way down to like an urgent care center. Then I  
20 think you even have more incentive to become a micro-  
21 hospital. So there's a little bit of balancing of trying  
22 not to promote a lot more of these emergency rooms out

1 there, but not trying to also promote a lot of new micro-  
2 hospitals.

3           With that said, I think in the next years of our  
4 analysis -- and I think Jay brought this up at a couple  
5 meetings ago -- that that's one of the issues you'll have  
6 to follow up on, is the micro-hospital expansion.

7           MS. McCLENDON: I think one other point I'd add  
8 to that, you were talking about potential, other incidents  
9 that could be created with this policy. I think it was  
10 also something that Pat has brought up in the past, is  
11 that, well, if we set this six-mile criteria, are people  
12 just going to go right outside of those six miles and set  
13 up shop there? And while that's not something that's  
14 included explicitly in this recommendation, I do think we  
15 talk a little bit in the chapter that this is something  
16 that if Congress wanted to move forward, that they should  
17 be cognizant of and maybe include some additional threshold  
18 or some way to try and potentially prevent against that  
19 gaming.

20           DR. DeBUSK: When you were picking that radius,  
21 was there any consideration of maybe like, say, the HCAHPS  
22 score of the hospital that would be affected by this? I

1 mean, if I have terrible HCAHPS scores and eight-hour ED  
2 wait times, does that color your view? And I'm asking this  
3 as a question. Does that color your view on whether the  
4 six miles becomes three miles or becomes nine miles?

5 MR. GAUMER: We haven't given that consideration  
6 in the selection of the six miles.

7 DR. DeBUSK: So quality and wait time were not  
8 incorporated into any of these criteria?

9 MR. GAUMER: No. No, they were not.

10 DR. DeBUSK: Okay.

11 DR. REDBERG: Zach, just related to the drive  
12 time estimates, do we know what percentage of these  
13 patients are coming in by ambulance? Because what if they  
14 put sirens on and go faster?

15 MR. GAUMER: We don't have hard data on this  
16 nationally. The only study that really -- okay. So  
17 anecdotally, we know that very few patients are coming into  
18 the stand-alone EDs via ambulance, and that there's  
19 variation.

20 So we visited one in Virginia where it seems like  
21 they actually do take a little bit more ambulance traffic  
22 than others, and it's just because it's a larger stand-

1 alone emergency department, so there's some variation.

2           But overall, it's very low, and Maryland did a  
3 study on this of the -- at the time, the three that were in  
4 their state, and these were relatively large stand-alone  
5 EDs. And what they found was that the ambulance traffic  
6 was very minimal, like less than 5 percent of the patients  
7 coming through the door, and I think the national number  
8 for all emergency departments, hospital, is something in  
9 the 30 percent range. Thirty-percent of patients come in  
10 by ambulance. Seventy walk in. I could be wrong. The  
11 industry will probably kill me for getting that wrong, but  
12 that's the number in my head.

13           DR. CROSSON: Okay. So I have Jack. All right.  
14 Okay. So I'm going to do Jack, and then we'll start with  
15 Kathy and go around this way.

16           DR. HOADLEY: Thanks for this analysis. I had a  
17 couple questions on the Type B anomaly kind of issue on  
18 those two level payments, and I realize by moving a  
19 recommendation away from that, it's not as germane.

20           But one is, does that anomaly -- those dollar  
21 amounts, they do change, and so could that nonlinearity or  
22 whatever you want to call it, anomaly, go away at some

1 point in the future or get worse?

2 MR. GAUMER: It could. So, Dan? Yeah. Do you  
3 want to jump in? Yes, please.

4 DR. ZABINSKI: It can, but it hasn't, is the  
5 answer to that.

6 DR. HOADLEY: Okay. It's been around for a  
7 while?

8 DR. ZABINSKI: It's been around for a while, and  
9 there's -- the reason why it occurs, it's not entirely  
10 clear. We'd really have to dig down into the actual data  
11 to do it, but yeah, it's been around for a few years.

12 DR. HOADLEY: Okay.

13 And then I guess my other question, had you  
14 thought about making sort of just an adjustment to say if  
15 you have these situations, we'll treat the two as equal or  
16 whatever, we'll average the two, or do something else to  
17 basically take that anomaly out of the system?

18 DR. STENSLAND: Yeah. I think that that might be  
19 a future activity, but we try to focus on what are we going  
20 to do about these freestanding EDs as opposed to saying,  
21 "Now let's dig into how we're going to fix the Type B ED  
22 rates, but there certainly is work to be done there.

1 DR. HOADLEY: Yeah. That's what I was just  
2 thinking. I mean, again, you avoided the problem by basing  
3 the recommendation on the Type A, but at some point, it  
4 seems like if there's a -- I mean, it's like you would do  
5 in the fee schedule. You'd say, "Well, this is something  
6 where the RUC should look into. Why are these things out  
7 of line?" And it seems like something you might do at some  
8 point.

9 DR. CROSSON: Kathy.

10 MS. BUTO: Yeah. And I'm trying to think beyond  
11 the mileage issues whether there is some real distinction  
12 between the stand-alone EDs and urgent care centers. So if  
13 you were to look at them, do the stand-alone EDs really  
14 meet additional conditions of participation or requirements  
15 that are associated with the overall COPs for hospitals  
16 that somehow creates another -- not barrier to entry, but a  
17 standard around the ED that an urgent care center wouldn't  
18 have to meet? Because otherwise, particularly where  
19 there's big overlap in the acuity of patients, it just  
20 appears that they really ought to be paid very similarly.

21 So I'm wondering if that's the case, if we are  
22 seeing standards that apply to EDs because they're part of



1 hospital outpatient departments that you wouldn't see in an  
2 urgent care center.

3 MR. GAUMER: So these facilities that are OCEDs  
4 associated with the hospital are kind of under the COP of  
5 the hospital itself. So I don't think that they're --  
6 they're not a separate facility type, so they don't have  
7 their own COPs, and so that -- maybe I'm just restating  
8 what you just said --

9 MS. BUTO: Yeah. Because it's --

10 MR. GAUMER: -- but that could be something that  
11 could be considered.

12 MS. BUTO: If they were just under the umbrella,  
13 they wouldn't have to be really anything special. They  
14 could look exactly like an urgent care center or a  
15 physician's office practice, right?

16 Do they have EMTALA requirements?

17 MR. GAUMER: They do have EMTALA, yeah.

18 MS. BUTO: They do, okay. Well, that would be  
19 different.

20 MR. GAUMER: Yep. So -- yeah. What?

21 MS. McCLENDON: Yeah. And I do think it varies a  
22 little bit state to state as well because, obviously, some

1 of these states haven't even allowed them, but the ones  
2 that do have different conditions, I think, for what the ED  
3 has to meet in order to be certified as an ED.

4 DR. CROSSON: Warner.

5 MR. THOMAS: On the data that you looked at,  
6 going to a little bit follow up on Brian's question, that  
7 you looked at the data from a few locations to determine  
8 the 30 percent, was that commercial or Medicare data? It  
9 was commercial data; is that right?

10 MR. GAUMER: So when we were looking at the  
11 severity issue, the three states, Maryland's data was a mix  
12 of all payer, so that included private as well as Medicare  
13 and Medicaid in there. The Texas was only private, so that  
14 those 5 million people, I noted those were just privately  
15 insured, and then Colorado, another small sample, just  
16 privately insured.

17 MR. THOMAS: And why do you think applying that  
18 to all of Medicare is the right approach? I mean, why do  
19 you think there would be a consistency from that? It seems  
20 like a relatively small sample size compared to the rest of  
21 Medicare patients.

22 MR. GAUMER: Absolutely. So there is a

1 difference between the privately insured and Medicare.

2 MR. THOMAS: Right.

3 MR. GAUMER: And I don't know what the extent of  
4 that difference is.

5 I think that at this time, though, we know that  
6 this is a growing trend. We have seen the same general  
7 message for the last three years about what these  
8 facilities' business plans are and how they're growing, and  
9 identifying these concerns, I think we've moved on to  
10 recommendations at this point because we feel like we were  
11 getting ahead of the problem before it becomes every  
12 hospital in America has an OCED.

13 MR. THOMAS: And then just real briefly for the  
14 Type A for the OCEDs versus -- you were kind of doing some  
15 comparison to say a community hospital, regular ED. Was  
16 there a big mix in the level, the percentage, distribution  
17 of Level 1 through 5 between an OCED versus, say, a  
18 community hospital ED?

19 MR. GAUMER: So when we talk about Maryland and  
20 what they saw, there is a big difference between --

21 MR. THOMAS: Just kind of less acuity, so you're  
22 kind of geared more towards Level 1, 2's?

1           MR. GAUMER: Yeah. And I'll kind of flesh this  
2 out a little bit, but if you compare Type A and Type B,  
3 what you get is essentially -- in Type A, you get  
4 essentially -- I think it's 65 percent of cases are Level 4  
5 and 5. Those are the highest acuity. If you compare that  
6 to Type B, it's about 20 percent fall into 4 and 5's. And  
7 in the Type B, the majority of the cases -- well, 38  
8 percent of the cases fall in Level 3. That's the largest  
9 group, okay?

10           When I looked at Maryland, when you consider you  
11 see similar stuff, when you look at Texas and Colorado, we  
12 don't have the benefit of having those five levels. What  
13 we have to go off of is the diagnosis, and there, we see  
14 that the diagnoses of the patients in OCEDs or in stand-  
15 alone EDs are lesser, lower severity than in the on-campus  
16 hospital ED. So there is a jump that's occurring.

17           MR. THOMAS: So isn't there a payment  
18 differential already because you have a lower acuity  
19 payment? I mean, they're taking a lower payment without an  
20 adjustment because they have lower acuity patients or --

21           DR. STENSLAND: I think that's true, and that's  
22 why I think we emphasize the standby capacity cost. We

1 looked at the data, but also I want to say data -- Zach and  
2 Sydney and I, we talked to a lot of folks at hospitals and  
3 also the ambulance drivers.

4 MR. THOMAS: Right.

5 DR. STENSLAND: And the ambulance drivers, what  
6 they told us really corroborated with the data. They  
7 basically said, "Well, if I think they're going to need to  
8 be admitted, we're just going to bypass this freestanding  
9 ED and go to the hospital, or if they have a gunshot wound,  
10 we're bypassing. If they have a stroke, we're going to the  
11 stroke center." So it's all very consistent.

12 MR. THOMAS: Okay.

13 DR. CROSSON: Okay. Amy.

14 MS. BRICKER: In the appendix in the chapter, you  
15 mentioned 350 urban off-campus EDs and growing. Do you  
16 have a sense of what the "and growing" is?

17 MR. GAUMER: So we don't have a number to put on  
18 the "and growing." We're estimating now that there's 550  
19 to 600 of them, total, out there, and what we've seen  
20 recently is that we're seeing them pop up in markets like  
21 Jacksonville and Charlotte and other markets around the  
22 country that we haven't seen recently. Ohio is getting a

1 bunch.

2           And what we also see is that in the annual  
3 financial reports that are submitted to the SEC, in the  
4 for-profit hospital entities, we're seeing stronger  
5 statements about where their dollars are being focused --  
6 on convenience care, on stand-alone EDs and urgent cares,  
7 and those types of things.

8           So that's part of the reason we feel like this is  
9 a growing trend because the big for-profits have gotten  
10 into this game, and they see the value of these things.

11           MS. BRICKER: Yep. And I don't want to go into  
12 Round 2, so I'll try to pose this as a question.

13           You mentioned gaming -- and we can talk about  
14 that, meaning just outside of the six-mile radius, and I  
15 know that we're trying to -- we're just trying to make a  
16 recommendation that gets at need and trying to straddle how  
17 long it would take someone and what's reasonable to drive  
18 there. And I understand why we've made the recommendation  
19 we have.

20           Have you considered any other recommendations  
21 that we haven't seen here that get at -- I think some of  
22 what Brian was saying, just that there's an adequacy of the

1 other hospitals or something else that would be considered.  
2 You mentioned Congress might want to consider additional  
3 factors if they take up this recommendation. Is there  
4 something else that we're not considering here that maybe  
5 we should to prevent the gaming, to make it not arbitrary,  
6 like six miles because we think that that's reasonable?  
7 And if they all went to seven, are we just going to  
8 reconvene in next year and then say, well, there should be  
9 eight miles? I mean, that's the thing I'm concerned about.

10 MR. GAUMER: So I guess my take on this we  
11 haven't come up with any recommendations that we're holding  
12 back on necessarily or you guys haven't come up with any  
13 recommendations that have been put out there.

14 I think the open question that Brian brought up  
15 and others have last time is what about urgent cares and  
16 what about micros and what about in general, what's going  
17 on with emergency department use for low-severity cases,  
18 and that's the only thing that -- and we reflect that in  
19 the chapter, which you saw.

20 DR. STENSLAND: There is no magic number that we  
21 can come up with. So six is kind of this compromise, and  
22 we had even talked about using travel times, I think, in

1 the first time we discussed it. But the idea of CMS having  
2 to implement the travel times and then having big fights  
3 over what's the real travel time, and people would -- even  
4 the industry said people would probably litigate that, what  
5 the real travel time is.

6           So just to make it feasible for CMS to  
7 administer, we had to come up with a number, and this was  
8 the best we could come up with. But whether somebody could  
9 say no, it shouldn't be six, it should be five or it should  
10 be seven, we really can't argue with that.

11           DR. CROSSON: Okay. Just let me point out we've  
12 used up the majority of our discussion time. We're still  
13 on questions on an issue we've discussed in deep depth  
14 rather recently. So let's move along.

15           Questions? Pat and then Jon.

16           MS. WANG: Do you have information on -- and it's  
17 been mentioned before. Do you have information on the type  
18 of, quote/unquote, ancillary services that will -- that  
19 can, if they wrap around an OCED, be eligible for OPPS  
20 rates under the exception in the statute? If you don't  
21 have that, are there rules about what constitutes a service  
22 that can be associated with an OCED and receive OPPS



1 payments? Okay. So do we have information or do you have  
2 a sense based on your interviews when you look at this what  
3 proportion of revenue for the OCED plus the ancillary wrap-  
4 around services come from the emergency service versus that  
5 OPPS layer?

6           And what I'm getting at, obviously, is that we're  
7 talking about changing the rate for the emergency service  
8 itself as a way of perhaps creating a different kind of  
9 incentive or blunting the incentive, and I'm just wondering  
10 whether the ability to kind of plant something that's  
11 reimbursed, that OPPS rates is more than compensating for  
12 that.

13           DR. STENSLAND: We had talked a little bit about  
14 this in our first meeting when we talked about this, kind  
15 of the 603 exception. You could even get higher hospital  
16 rates for your E&M visits, which wasn't aligned with our  
17 E&M recommendation. But to keep things simple, we moved it  
18 back just to talking about this, but that's certainly an  
19 issue to bring up I think in the future.

20           We're talking about some of the future issues --  
21 micro-hospitals -- I think this is also one of these --  
22 become outposts where it's a freestanding ED, and the real

1 purpose of the freestanding ED is that you can have all  
2 these other affiliated services getting higher rates.

3 MS. WANG: Exactly.

4 Okay. And the other question I had was I realize  
5 that you were focused and kind of driven over the last few  
6 years as you've watched this trend of these freestanding  
7 EDs in high-income areas. Along the way, have you had the  
8 opportunity to look at freestanding EDs in urban areas, in  
9 underserved areas that may have been put there, for  
10 example, when a community hospital has closed and just  
11 sort of factoring that into the mix?

12 MR. GAUMER: So we have seen some examples of  
13 that in the past, and we talked to some folks in Richmond  
14 that gave us a great example of one stand-alone ED that was  
15 placed in a really hard-to-get-to urban place, in between  
16 rivers.

17 So that is part of the reason that I think we  
18 were so interested in this six-mile threshold and providing  
19 exceptions for some urbans that are isolated from other  
20 hospitals.

21 DR. CROSSON: Jon.

22 DR. CHRISTIANSON: So the Urban draft

1 recommendation requires Congress pass legislation, and so  
2 that legislation would tie the reimbursement rates for Type  
3 A emergency department rates forever to the rates that are  
4 charged at on-campus emergency departments, right, or they  
5 be 30 percent less?

6 MR. GAUMER: That's right.

7 DR. CHRISTIANSON: Yeah, and that's based on the  
8 notion that there's more standby capacity so the costs are  
9 higher, if I hear you right, in the on-campus emergency  
10 departments. Have you contemplated a way to -- I mean,  
11 that ratio or those costs could change, will change  
12 probably over time. But we've put in law 30 percent less.  
13 Do you contemplate like the Commission will periodically  
14 reassess whether that 30 percent is the right number or  
15 whether it should be 25 percent and then make a  
16 recommendation to Congress that then they would have to  
17 pass new legislation to change that from 30 percent to 25  
18 percent? I'm just trying to understand how you see this  
19 process once you put into law adjusting to changes in the  
20 market, changes in costs and so forth.

21 MR. GAUMER: The staff have not considered how  
22 updates would be made, and I don't think that topic has

1 come up around the Commission table yet. So we would like  
2 to hear your thoughts on that.

3 DR. CROSSON: Okay. Questions? David.

4 DR. GRABOWSKI: Thanks. I wanted to come back to  
5 this potential for unintended consequences around the six-  
6 mile rule and this idea if you pay differently based on  
7 that six-mile threshold, you're going to get lots of stand-  
8 alone EDs popping up just outside the six-mile radius.

9 You raise in the chapter one way to sort of  
10 address that dynamic is actually to trigger it not based on  
11 just the on-campus emergency department but any other  
12 stand-alone emergency department, which I found kind of  
13 compelling. Have you run those same sets of numbers that  
14 you have up on Slide 7 using that same sort of -- changing  
15 the anchor, if you will?

16 MS. McCLENDON: No, we haven't, and part of the  
17 reason we haven't done that yet is, again, because with  
18 these stand-alone facilities, we kind of have our own  
19 internal working database, but we don't know where all of  
20 them are currently. So we could be missing some if we were  
21 to even rerun the data with the few addresses we do have  
22 versus the hospital data that we do have is a lot more

1 solid.

2 DR. CROSSON: Dana.

3 DR. SAFRAN: So I had a similar point, and let me  
4 just make sure, Sydney, that I understood your answer just  
5 now, because at least what I took from your idea in the  
6 chapter that I thought was a good one was not whether it's  
7 within a certain distance of another freestanding, but  
8 whether it's within a certain distance of any other  
9 emergency department. So do you not -- you don't have the  
10 data to assess that?

11 MS. McCLENDON: We don't have full data. We  
12 would have some of it that we could potentially try to run  
13 with the addresses we do have for some of these just stand-  
14 alone ED facilities, but we also could potentially be  
15 missing some.

16 DR. SAFRAN: Okay.

17 MR. GAUMER: Yeah, so I think that, you know,  
18 another way to say it is we haven't run the data yet on  
19 that issue, and it kind of came up -- this idea of using  
20 any ED came up late in the process, and we haven't run the  
21 data.

22 We do feel confident in the 550 to 600 stand-

1 alone EDs that we think are out there, and we have  
2 addresses for those facilities in the five markets that  
3 we've looked at, but we haven't had the data -- you know,  
4 we just, I think, have some suspicion that there may be  
5 more of these out there than we know because for us to know  
6 that they're out there, either the state has to record them  
7 and regulate them -- and there's only a couple states that  
8 do that -- or the hospital essentially has to advertise it,  
9 and we did a thorough search of who's advertising and, you  
10 know, which websites they pop up on. But there could be  
11 more out there.

12 DR. SAFRAN: Okay. My other question sort of  
13 ties a little bit to where I think Jon was going, but also  
14 relates to this point, and that is that, you know, I think  
15 you haven't heard questions about the rural because that  
16 issue of sort of addressing this through the lens of access  
17 seems really important. And so I haven't heard even in  
18 urban areas of serious access problems around EDs. And  
19 what we do see springing up in urban areas, at least, you  
20 know, the ones I'm most familiar with, is a lot of new  
21 urgent care. And we also know that there's a lot of  
22 emergency room care that is really appropriate to non-

1 urgent settings, that is, non-urgent EDs.

2           So I wonder, have you considered, rather than  
3 kind of the idea of reducing by 30 percent how these  
4 centers would be paid, tying their payment somehow to the  
5 acuity of the cases that they're seeing or even to their  
6 rates of non-urgent ED as a way to try to incentivize them  
7 to, you know, maybe have on-site urgent care and emergency  
8 room care if they're going to exist at all so that the  
9 cases that come their way that actually don't need  
10 emergency room care could be seen in the urgent care  
11 setting and paid for that way? Has that been something  
12 that you've been looking at at all, this line between  
13 urgent, non-urgent -- urgent care centers and ED?

14           DR. STENSLAND: Yeah, I think that's a hard one  
15 for us to go down the path of saying this is urgent or this  
16 isn't urgent or the patient should have known this was  
17 emergent, the patient should have not known this was  
18 emergent, and putting that on the hospital for when the  
19 patient shows up with something that they thought was  
20 emergent is difficult. And I think we're also a little bit  
21 taken aback by some of the push that came through the RACs,  
22 the recovery audit contractors, on the inpatient side when

1 they were trying to tell hospitals what was an appropriate  
2 inpatient admission and what wasn't, and so we're just  
3 going to pay you this observation rate for this rather than  
4 -- because now we've decided that that wasn't an  
5 appropriate inpatient admission. And there was just a  
6 whole lot of disputes over that and a whole lot of  
7 challenges going up to administrative law judges and  
8 everything getting clogged up in the system.

9           So from an administrative simplicity standpoint,  
10 I think we thought it was much easier to try to do it  
11 through the payment rates than through some of these  
12 judgment calls of what's needed.

13           Now, if the private industry ends up with a lot  
14 of success in doing that, then maybe Medicare could follow  
15 later. But I think we'd at least want to wait until we see  
16 somebody being successful.

17           DR. CROSSON: Further questions? Bruce.

18           MR. PYENSON: From a regulatory or legislative  
19 standpoint, what would it take for Medicare to have a  
20 moratorium on payments to freestanding -- the OCEDs or new  
21 ones? If you know the answer.

22           MR. GAUMER: I think -- I think -- what would



1 have to happen is CMS would first have to pass regulation  
2 that identified stand-alone emergency departments as  
3 something separate, a different kind of facility, to do  
4 that. Or they would have to, you know, put a moratorium on  
5 visits occurring -- and I'm not sure if this can happen,  
6 but emergency department -- claims including emergency  
7 department codes in off-campus settings. That might be  
8 possible, but I'm not sure it is.

9           So I think they'd have to more specifically  
10 define the off-campus emergency departments as a separate  
11 facility type in order to pass a moratorium, or something  
12 like that, which isn't possible, and we've recommended that  
13 they do that before this.

14           MR. PYENSON: So that would be entirely within  
15 CMS. That wouldn't be Congress.

16           DR. STENSLAND: I'm not sure that they can do  
17 that regulatorily. I'm guessing it's a law you'd have to  
18 change in order to stop paying these places. But, you  
19 know, I'm not going to be CMS' general counsel here, but I  
20 doubt they can do that regulatorily.

21

22           DR. CROSSON: Okay. Seeing no further -- yes,

1 Jon.

2 DR. CHRISTIANSON: Really quick. So the 30  
3 percent number, does that come specifically from the Ho  
4 study? Because that's the only place I could see any  
5 actual empirical estimates of standby costs.

6 MR. GAUMER: No, so the 30 percent comes from the  
7 difference between Type A and Type B rates.

8 DR. CHRISTIANSON: So it's not a standby cost  
9 estimate. It's a rate. It's based on what rates we pay.

10 MR. GAUMER: That's right.

11 DR. CHRISTIANSON: The Ho study says the standby  
12 costs are somewhere between urgent care centers and  
13 hospital-based emergency but they don't make a specific  
14 estimate of what --

15 MR. GAUMER: The Ho study says that the severity  
16 of patients is somewhere between the on-campus and the  
17 urgent care.

18 DR. CHRISTIANSON: I think in your chapter it  
19 says actually the costs, the standby costs, so I'll correct  
20 you on that.

21 MR. GAUMER: Okay. But the 30 percent comes from  
22 our estimate of the difference between Type A and Type B.

1 And then, you know, we say that the similarity between the  
2 Type B cases and their patient mix and the off-campus or  
3 stand-alone EDs appears to be similar.

4 DR. CHRISTIANSON: But you didn't actually do a  
5 standby cost estimate?

6 MR. GAUMER: No.

7 DR. CHRISTIANSON: But that's the justification  
8 for having the different 30 percent reduction, is the  
9 standby costs, right?

10 DR. STENSLAND: There is no clear empirical study  
11 where we came up with a point estimate and said it was 30  
12 percent, and 30 percent is basically in between what the  
13 urgent care center is getting paid and the on-campus  
14 facility would be paid. And, you know, somebody could  
15 argue it really should be 25 or it should be 35, and there  
16 really would be no way to say that that would be a wrong  
17 judgment either.

18 DR. GINSBURG: If I could come in on this issue  
19 that Jon raised before, isn't it possible for the  
20 legislation to say 30 percent but then authorize the  
21 Secretary to, you know, analyze cost data and change it as  
22 needed?

1 DR. CROSSON: Okay. So we have a little time  
2 issue here. But we have two recommendations on the table,  
3 and I'm going to take discussion on both at the same time  
4 for efficiency purposes. We have discussed this issue for  
5 several years. I just want to compliment Jeff, Zach, and  
6 Sydney for the thoroughness of this work. I think you all  
7 heard in the answers that were given the depth of thinking  
8 that has gone into these recommendations.

9 So we're open for discussion. Brian.

10 DR. DeBUSK: First of all, I want to echo that.  
11 Thank you all for an excellent chapter. I think the  
12 questions that we all had, the quantity and quality of  
13 questions that we had, particularly around the urban off-  
14 campus emergency departments, speak to just how difficult  
15 this issue is to address. And I appreciate the fact that  
16 you guys were working on something that has no clear  
17 solution.

18 The proposal on the urban off-campus emergency  
19 departments as it stands, I think it is an imperfect  
20 solution, and I'm hoping that this is a jumping-off point  
21 to a much larger body of work around appropriate ED use.  
22 I'd like to build on our site-neutral payment policies.

1 And in looking at how emergency care and primary care, you  
2 know, how they mesh together, I'm not comfortable with the  
3 30 percent. I do think that we need more data to have a  
4 number. And I'm not completely there on the drive times,  
5 but I will support the measure as written with at least my  
6 understanding that this is part of a bigger solution and  
7 that this is a stop-gap measure, this is a way point, at  
8 least in my mind.

9           So, again, thank you on an excellent chapter.

10           DR. CROSSON: Other comments? I see Craig,  
11 Kathy, Jack, Paul.

12           DR. SAMITT: So I would double down on Brian's  
13 comments as well. I support both recommendations actually,  
14 but I do believe that this is just the beginning of  
15 additional work that we should do looking at  
16 appropriateness of emergency room use. The two parts of  
17 the chapter that I believe we don't underscore enough is  
18 both on the utilization side as well as the reimbursement  
19 or level of care side.

20           On the utilization side, it's ironic that we're  
21 having these discussions about expansion of freestanding  
22 ERs when we're generally underinvesting in primary care, in

1 telehealth, and potentially in urgent care, when those are  
2 often far better alternatives for urgent, non-life-  
3 threatening cases, that we should be sure to reference in  
4 the chapter because I think we need more work there.

5           In terms of the cost side, what was striking in  
6 the chapter but we just have not addressed is the increase  
7 in Level 5 visits, and I would be interested in  
8 understanding or the future generations of the Commission  
9 understanding what's driving the increase of Level 5  
10 visits. My understanding is that facilities bill based on  
11 the intensity of the services delivered rather than the  
12 severity of the illness of the patient for these visits,  
13 and it feels as if that warrants additional study to  
14 determine if there are other recommendations that should be  
15 offered to really counter what may be upcoding or may be  
16 other drivers of an increase in Level 5 visits, which is  
17 obviously also driving up the cost of emergency services.

18           DR. CROSSON: Thank you, Craig. Kathy.

19           MS. BUTO: So I support both recommendations, and  
20 I'm more enthusiastic about the recommendation involving  
21 rural OCEDs. Like Brian and Craig, I have reservations  
22 about the urban recommendations. I don't have a better

1 approach that I can think of. It does bother me, though,  
2 that whatever we call it, if this were enacted by statute,  
3 it's going to need not just updating but potentially  
4 totally -- some total revision.

5           It seems to me that what we're paying for, the  
6 additional increment of a freestanding emergency room  
7 capacity, is just some sort of 24/7 coverage, and otherwise  
8 it looks just like a physician's office in many respects.  
9 So I'm wondering -- that bothers me, especially since we're  
10 trying to encourage people to move away from emergency room  
11 care to primary care, as other people have pointed out.

12           So my hope is that we can delve more deeply in  
13 the next iteration into whether, in fact, the urgent care  
14 option ought to be built on rather than this payment being  
15 tied to a decrease in the on-campus emergency department  
16 rate that in some ways that's really what we're looking at.

17           But I would also, in response to Craig's comment  
18 about Level 5 visits, I actually think we ought to consider  
19 maybe suggesting that this is an area that ought to be not  
20 just analyzed but maybe audited going forward. There are a  
21 number of areas in this realm that should be looked at by  
22 program audits, it seems to me, and this is one of them.

1 DR. CROSSON: Thank you. I've got Jack, Paul,  
2 and Dana. I see Warner, Sue, Pat. Okay.

3 DR. HOADLEY: I'll be very brief because I think  
4 my comments are pretty consistent with what we've already  
5 heard. I think the recommendations are good. I like the  
6 rural one particularly. I think it's one we've spent a lot  
7 of time on and gotten to a good place on, but the other  
8 one, you know, as people said, there's still some  
9 uncertainty, but it's a good way to go forward.

10 I like the fact that you do raise in the chapter,  
11 based on our discussion last time, the micro-hospital  
12 issue, and I think, you know, what this really calls for in  
13 the future agenda, sort of how to balance the gaming  
14 potential versus the legitimate innovation, whether we're  
15 talking about micro-hospitals, urgent care centers, minute  
16 clinics, all the other kinds of facilities that people will  
17 use for sort of unscheduled care, but also things like the  
18 six-mile threshold, if we have this phenomenon of things  
19 popping up right in or outside of a mileage. And I think  
20 it's just something both to flag and maybe even more of a  
21 statement right close to the recommendation that just sort  
22 of says there's a need, you know, for both us, for CMS, for



1 whoever, to watch these issues and sort of look at that  
2 balance of legitimate innovation and gaming potential.

3 DR. CROSSON: Paul.

4 DR. GINSBURG: Yes, again, phenomenal work went  
5 into this chapter, and I want to praise it. I support the  
6 recommendations.

7 I just want to point out there's a paragraph on  
8 page 11 and 12 about micro-hospitals, and I want to urge  
9 that a few sentences be added to outline the possible --  
10 the concerns about micro-hospitals and be a little stronger  
11 about the Commission wanting to take this up in the future.

12 DR. CROSSON: Thank you. Dana, Warner, Sue, Pat,  
13 and David Grabowski.

14 DR. SAFRAN: Thanks. I'll be brief. I support  
15 the rural recommendation wholeheartedly. I support the  
16 urban with some more trepidation. Many of the comments  
17 around the table have already indicated that.

18 I'd love to see -- the current language says  
19 "within six miles of an on-campus hospital emergency  
20 department," so that could be interpreted to mean any, not  
21 just that hospital. And I'd love to see it interpreted  
22 that way to address the issue we were talking about before.

1           Then my other comment relates to where I started  
2 to go on non-urgent care, and that is, I do think that -- I  
3 understand the challenges of identifying individual  
4 patients and saying this was or wasn't worthy of an  
5 emergency room visit. But I think we have some good and  
6 quite well accepted population level measures of non-urgent  
7 ED use. And what I'm suggesting is that we consider  
8 applying those at least to these facilities, and rather  
9 than that blanket sort of 30 percent number that you've  
10 heard some questions about in terms of their rate, having  
11 their payment rate somehow related to non-urgent ED.

12           But the real thing I'm trying to drive at here is  
13 some way to encourage these facilities to have, you know,  
14 one part of them that is for urgent care and one part  
15 that's for emergency care so that we aren't just seeing  
16 this escalation of more and more ED visits but, rather,  
17 getting people access to urgent care when they need it at  
18 urgent care prices.

19           DR. CROSSON: Dana, I just want to be clear on  
20 your first point. Were you suggesting that the  
21 recommendation language be changed to "any emergency  
22 department," or is it you want language supplementary to

1 examine or lay out the question that maybe that's another  
2 consideration?

3 DR. SAFRAN: I was saying that one reading of the  
4 language that's here is, you know, that it could be any --  
5 that just as written, I could interpret as meaning not just  
6 the emergency department of my own home hospital, but any  
7 on-campus --

8 DR. CROSSON: I see, I see.

9 DR. SAFRAN: And that I liked that  
10 interpretation.

11 DR. CROSSON: Okay.

12 DR. SAFRAN: So it's fine as written if we could  
13 interpret it that way.

14 DR. CROSSON: All right. Thanks for that.  
15 Warner.

16 MR. THOMAS: So I support the first  
17 recommendation, on the urban recommendation. My concern  
18 here is that, you know, we're setting a policy with pretty  
19 limited data that we're not sure, you know, how that  
20 applies in a broader sense. But probably more importantly,  
21 I think we're setting a precedent of setting a policy on  
22 something we think is going to happen, and, to me, if we're

1 going to do that then that's fine, then we ought to do it  
2 in other cases as well. And if we see a trend, we ought to  
3 preemptively be acting across anything that relates to  
4 Medicare payment, not just in this situation.

5           So at least since I've been on the Commission, I  
6 haven't seen us really do that, and if that's a new  
7 approach then I think that's great, but it seems like we're  
8 setting a new precedent here by being preemptive versus  
9 having data, really analyzing it, and then making a  
10 decision. So because of that I'm very concerned about, you  
11 know, this approach.

12           DR. CROSSON: I have Sue, Pat, David, and did I  
13 see Bruce as well? Yeah. Sue.

14           MS. THOMPSON: Well, may I be the first to make  
15 comment on the rural side. I mean, I'm quite enthusiastic  
16 and very supportive of this recommendation, and I see this  
17 as a very, very positive policy for rural America.

18           And with that, just a couple of comments. In  
19 that recommendation I just heartily support encouraging  
20 these standalone EDs that are very remote to have economic  
21 and/or clinical relationships with larger systems or  
22 entities that can provide them the support needed. I think

1 that language is always good. In fact, I think standalone  
2 anything in our language today is counter to the principles  
3 of MedPAC, so I just call out the fact that we used  
4 standalone in both of these -- in the discussions around  
5 both of these recommendations. I think that's just worthy  
6 of note.

7           I am, again, disappointed in the "within 35  
8 miles." I think we're not going far enough, fast enough,  
9 because I think there are critical access hospitals that  
10 are within 35 miles of other emergency departments that  
11 would benefit from, and I think it's in the interest of  
12 Medicare to think about how do we eliminate subsidies to a  
13 whole lot of inpatient beds that aren't being utilized,  
14 simply because they need to maintain inpatient beds in  
15 order to have health care presence in their community.

16           So, again, I call out my concern about the  
17 "within 35 miles," and I point out, on page 23 of the  
18 chapter, the unintended consequence of 35 miles that is  
19 illustrated in that example. So again, while access to the  
20 emergency department is the focus of that chapter, I just  
21 really want to call out those. We are really limiting our  
22 opportunity here.

1           But, again, a great recommendation, very, very  
2 positive, and I enthusiastically support. And,  
3 additionally, I just want to underscore that for a critical  
4 access hospital that chooses to leave that designation, to  
5 have the opportunity to go back if it doesn't work is  
6 absolutely imperative to this recommendation. So thank you  
7 for this, and thank you for your good work.

8           DR. CROSSON: Thank you, Sue. Pat.

9           MS. WANG: I enthusiastically support the rural  
10 recommendation. As with others, I really struggle with the  
11 urban recommendation. You know, six miles is, by  
12 definition, arbitrary. Six miles does not equal 10 minutes  
13 in many, many jurisdictions. And I do, in particular, have  
14 concerns about the specific situation that we just talked  
15 about, you know, freestanding emergency rooms in  
16 underserved areas that are there specifically because a  
17 community hospital has closed.

18           That said -- so I'm really on the fence on that  
19 one. I'm going to support it with the caveat that -- of  
20 this concern about kind of further work, particularly in  
21 the area of underserved urban areas and whether that six-  
22 mile radius should be adjusted or changed in some fashion.

1 I mean, the problems in those kinds of communities are much  
2 larger. Medicare volume is probably low to begin with,  
3 which is -- you know, there's a whole confluence of things  
4 there.

5           The other thing that I think is very important is  
6 to collect and track the information that we were talking  
7 about, about the non-emergency OPPS reimbursed services.  
8 And for that, as well as the basic emergency room services,  
9 I'm wondering whether the urban and the rural  
10 recommendation needs to be amended or whether something  
11 needs to be added to recommend that this be broken out on  
12 cost reports, that the off-campus services, et cetera --  
13 because I don't believe right now it's broken out on the  
14 cost reports. That was one of the problems of doing the  
15 analysis. Does that need to be specified, is a question,  
16 because I think it's extremely important to get at that.

17           I also tilt towards supporting this simply  
18 because, with some exceptions, we do not need more  
19 emergency rooms. I mean, the next topic of conversation is  
20 going to be potentially preventable hospital admissions.  
21 There are potentially preventable hospital emergency room  
22 visits that start with this kind of capacity being

1 available, where people are going to emergency rooms  
2 instead of to more robust primary care capacity.

3           So I think that it's important, as we continue  
4 the work -- and, you know, I'm where Brian is about like a  
5 commitment to keep looking at this -- I would hope that one  
6 day we could come up with a recommendation that makes it as  
7 attractive for a hospital or anybody to sort of start a  
8 freestanding, you know, very robust, primary care practice,  
9 or augment that capacity in a community to replace a  
10 closing hospital, to augment access, et cetera. You know,  
11 urgent care, emergency rooms are important to kind of,  
12 like, relieve the access problem, but the real solution is  
13 better with primary care.

14           DR. CROSSON: Thank you. David.

15           DR. GRABOWSKI: Great. Thanks. I'll be brief.

16 I'm supportive of both draft recommendations. I wanted to  
17 make two points. Number one, with the urban  
18 recommendation, I share some of the concerns that have been  
19 expressed around the table, especially around the six-mile  
20 rule, anything we can do to address some of the unintended  
21 consequences. I really liked Dana's suggestion. There may  
22 be other ways to kind of build language in there to make



1 certain that we're not just seeing places crop up right  
2 around that six-mile threshold.

3           Point number two is a broader comment. I really  
4 like the way Brian framed this as a starting point or  
5 jumping-off point for us, and I would love to see us do  
6 more work in this area. Here we are, paying different  
7 rates across different settings for sometimes very similar  
8 patients, and it sounds a lot like what we're going to talk  
9 about later today, and we've been talking about, around  
10 post-acute care and site-neutral payment. And so I think  
11 this is a great topic for the Commission and I hope we'll  
12 continue our work on it, and I'd love to see us try to  
13 apply some of the framework that we've used in post-acute  
14 care to this area as well. Thanks.

15           DR. CROSSON: Bruce.

16           MR. PYENSON: Yeah, thank you very much, and I  
17 really appreciate the work in getting ahead of this  
18 emerging trend. I find myself agreeing with both Pat and  
19 Brian on looking at this as almost an interim measure, but  
20 I'd like to say that I would also see an appropriate  
21 interim measure being a moratorium on payment for expanded  
22 ED capacity. I understand there's uncertainty. I see the

1 danger more on the inappropriate and reimbursement-driven  
2 growth than on a lack of capacity.

3           So if we're talking about interim measures, that  
4 would be my preference. Thank you.

5           DR. CROSSON: Thank you. Seeing no further  
6 comments, we're going to proceed with the vote in a minute.  
7 I'd just make a couple of comments, again, complimenting  
8 the staff on work which, you know, based on the questions  
9 but also based on the very good considerations that the  
10 Commissioners have brought up, it was a difficult topic,  
11 particularly the urban recommendation. Nevertheless, one  
12 that I think we all, more or less, think is needed and is  
13 timely as well.

14           I think some considerations that we may want to  
15 add to this, as we write up, have been brought up as well.  
16 Concern about the particular situation where, in urban  
17 settings, underserved populations could be adversely  
18 impacted and that might go into a consideration of how any  
19 legislation is constructed. The notion that Jon brought  
20 up, and Paul talked about, which is the fact that we would  
21 like to see it written with some flexibility so that  
22 certain numbers are not installed in perpetuity that might

1 be relatively less apt at some time in the future.

2           That there should be some work done, and where  
3 that would be, maybe us or CMS or others, to actually track  
4 the impact if this gets legislated, both in a positive way,  
5 to see to what extent it is has actually helped solve the  
6 problem, but also looking at potential negatives, in terms  
7 of negative impacts on certain populations, again, but  
8 also, you know, the evolution of gaming many hospitals and  
9 other things like that. I don't think there's any sense  
10 here, and I heard, at least from a number of Commissioners,  
11 that this is the solution for all time and it was exactly  
12 the right, but at the same time, we need to proceed as best  
13 we can.

14           And then the last point that, you know, even  
15 though we're talking about one aspect of emergency room  
16 services and its impact on Medicare expenditures, there  
17 are, in fact, many other issues in terms of utilization and  
18 proper site of care, as Brian has described, that would be  
19 appropriate for future work.

20           So I'm going to proceed with the first draft  
21 recommendation, page nine. I'll allow you time to read  
22 that again.

1 All Commissioners in favor of the draft  
2 regulation please raise your hand.

3 [Show of hands.]

4 DR. CROSSON: All opposed.

5 [No response.]

6 DR. CROSSON: Abstentions.

7 DR. NERENZ: Just note, Rita had to step out.  
8 She said she supports.

9 DR. CROSSON: Yeah. This is often awkward.  
10 We'll figure out how to deal with it. Thank you.

11 So I see unanimous that, notwithstanding  
12 unanimous support.

13 We'll move on to the second recommendation, which  
14 is on page 15. I'll give you time to read that.

15 All Commissioners in support of the draft  
16 recommendation please raise your hand.

17 [Show of hands.]

18 DR. CROSSON: All opposed.

19 [No response.]

20 DR. CROSSON: Abstentions.

21 [No response.]

22 DR. CROSSON: Seeing none it passes unanimously

1 as well, at least with respect to Commissioners present and  
2 voting.

3           That ends this particular topic. Thank you again  
4 to the staff for the presentation. Thanks for the  
5 excellent discussion, and we'll move ahead with the next  
6 agenda item.

7           [Pause.]

8           DR. CROSSON: Okay. Just before we start the  
9 next session, since we haven't begun the next session,  
10 consider the voting on the two resolutions as -- two  
11 recommendations as still open.

12           Rita, how do you cast your vote on these two?

13           DR. REDBERG: I support both of the  
14 recommendations.

15           DR. CROSSON: All right. Thank you. That will  
16 be recorded.

17           Okay. We're going to continue our discussions on  
18 the issue of Medicare Advantage encounter data and its  
19 uses, availability, and the future, and Andy and Jennifer  
20 are going to begin the discussion.

21 \*           MS. PODULKA: Thank you, Jay.

22           Today, Andy and I will present information on

1 Medicare Advantage encounter data beginning with background  
2 on how the data came to be collected. We'll summarize the  
3 findings from our efforts to validate the encounter data  
4 files. We'll discuss the different uses of data, and  
5 finally, we'll introduce some potential recommendations as  
6 next steps for next cycle.

7           First, a note on terminology. MA organizations  
8 sign contracts with Medicare to deliver the Medicare  
9 Advantage benefit to enrollees. These contracts can  
10 include one or multiple plan benefit packages. All of our  
11 analyses were conducted at the contract level, but we'll  
12 also use the terms "MA organization" and "plan"  
13 interchangeably today.

14           MA encounter data has a 20-year history that  
15 became with fits and starts in data collection. Then, in  
16 2008, CMS amended the MA rule to resume collection of  
17 detailed encounter data from MA organizations for risk  
18 adjustment and other purposes. In January 2012, CMS began  
19 collecting such data from plans.

20           We now have access to MA encounter data files for  
21 2012, 2013, 2014, and preliminary files for 2015. We  
22 expect them to be updated with revised 2015 files later

1 this year.

2           Data are collected for each of six provider types  
3 shown on the screen here, and note that encounter data are  
4 similar to claims data in that they are expected to include  
5 diagnosis and treatment information for all services and  
6 items provided to enrollees.

7

8           Our validation methodology included two main  
9 categories. First, we checked to see if each plan  
10 successfully submitted any encounter data at all for each  
11 of the six settings. We also compared the plans' reported  
12 enrollees to CMS's database that tracks MA plan offerings  
13 and beneficiaries' enrollment.

14           It's important to know that when plans submit  
15 encounter data, CMS's system performs automated front-end  
16 checks before accepting each record. Errors in records  
17 cause the systems to reject the submission, which means no  
18 record will appear in the data files unless the plan  
19 resubmits the data. In other words, if encounters are not  
20 present in the data, we can't tell from our end if that's a  
21 result of the plan not submitting or the system not  
22 accepting the record.

1           Second, where available, we compared MA encounter  
2 data to other data files that include information on MA  
3 utilization. We checked to see if the same enrollees  
4 appear in both datasets, and where possible, we also  
5 compared enrollees' services documented in the encounter  
6 data to these events documented in the comparison data.  
7 For example, by matching enrollee's inpatient visit  
8 reported in the encounter data to the same inpatient visit  
9 included in the hospital Medicare Provider Analysis and  
10 Review or MedPAR file.

11           Our validation efforts found three broad  
12 categories of encounter data issues, and we'll go through  
13 each one of these three on the subsequent slides.

14           First, there are some plans that are not  
15 submitting or the system is not accepting any encounters  
16 for all six settings. Even after excluding plan types,  
17 such cost plans, that are not required to submit encounter  
18 data, we found that by 2015, some plans had no encounter  
19 data at all for certain settings.

20           We also found, on the plus side, that plans are  
21 generally submitting encounter data, albeit with lower  
22 rates of reporting for SNF and home health encounters, and



1 that reporting rates improved from 2014 to 2015.

2           Note the bottom row of the table that in 2015  
3 only 80 percent of MA contracts had encounter data for all  
4 six of the file types.

5           Second, MA encounter data includes a small number  
6 of records that attribute enrollees to the wrong plan. MA  
7 plans submit data via the Encounter Data System, and the  
8 EDS accepts the submitted record if the beneficiary is  
9 actually enrolled in the plan according to the agency's  
10 information plus some other checks.

11           However, Medicare sometimes changes a  
12 beneficiary's enrollment retroactively. The beneficiary  
13 can be moved between plans or even back to fee-for-service.  
14 When this happens, the system does not require any change  
15 to already submitted record, so the beneficiary continues  
16 to appear to be enrolled in the original plan in the  
17 encounter data.

18           It's true that these retroactive enrollment  
19 changes are rare and they affect a small number of ED  
20 records, but unlike other issues with the encounter data  
21 like underreporting, there isn't a possibility that this  
22 issue will solve itself over time.

1 DR. JOHNSON: The final broad issue category is  
2 the comparison of encounter data to other sources of MA  
3 utilization. Although some of these sources are  
4 themselves incomplete, the comparisons provide some  
5 indication of encounter data completeness. I will note  
6 that we could not assess physician encounter data, as there  
7 is no good source of physician utilization for MA  
8 enrollees.

9 We compared both the 2014 and preliminary 2015  
10 encounter data to the MedPAR, risk adjustment, OASIS, and  
11 MDS data files. I will provide a summary of each as we go.

12 On the following slides, you will see a match  
13 rate. This statistic indicates the proportion of a  
14 comparison dataset that matches to the encounter data.  
15 Match rates may be reduced by missing encounter data or  
16 mismatched data, due to an incorrect beneficiary ID or  
17 date, for example.

18 The MedPAR file contains information about  
19 inpatient hospital stays and is used to calculate  
20 disproportionate share hospital and graduate medical  
21 education payment amounts.

22 For MA inpatient stays, hospitals submit to

1 "information-only" claim records for CMS. Between 2014 and  
2 2015, we found that the total number inpatient encounter  
3 records increased, and in 2015, there were more inpatient  
4 encounter records than MedPAR stays.

5           When comparing individual stays, we found that  
6 the proportion of MedPAR stays with a matching encounter  
7 record increased from 73 percent in 2014 to 78 percent in  
8 2015. Similarly, when comparing unique beneficiaries with  
9 any inpatient stay, we found that the encounter match rate  
10 increased from 84 percent to 90 percent. All indicators  
11 show that inpatient encounter records appear to have  
12 improved between 2014 and 2015.

13           Our next comparison focuses on dialysis services.  
14 Dialysis facilities submit a medical evidence form to CMS  
15 when a patient with end stage renal disease begins  
16 dialysis. The form triggers an indicator, which, for MA  
17 enrollees, results in Medicare's payment being based the  
18 dialysis risk adjustment model.

19           Of the MA enrollees with the dialysis indicator,  
20 about 86 percent had a dialysis encounter record in 2014.  
21 The match rate increased to 89 percent in 2015 and is  
22 similar to the match rate found in fee-for-service

1 Medicare. This analysis suggests that the encounter data  
2 for dialysis services are relatively complete.

3           Next, we turn to a few post-acute settings where  
4 we found less complete encounter data. An outcome  
5 assessment and information set, or OASIS assessment, is  
6 required for all Medicare beneficiaries at the start of a  
7 home health episode and at several points thereafter.

8           Overall, for both years, we found that too few  
9 enrollees had a home health encounter record; however, the  
10 number of enrollees increased by about 30 percent in 2015  
11 and was much closer to the number of MA enrollees with an  
12 OASIS assessment.

13           Consistent with the low number of enrollees with  
14 an encounter record, we found that the match rate for  
15 beneficiaries with a home health encounter to those with an  
16 OASIS assessment was below 50 percent for both years.  
17 These results indicate that although submission of home  
18 health encounters improved, many home health encounters are  
19 missing.

20           And finally, a minimum data set, or MDS  
21 assessment, is required for all MA enrollees on the 14th  
22 day of a skilled nursing stay as well as quarterly and

1 annually.

2           We again compared MA enrollees with an MDS  
3 assessment to those with a skilled nursing encounter record  
4 during the year. Given that some MA skilled nursing stays  
5 ended before an MDS is required, we expect and found that  
6 many more enrollees had a skilled nursing encounter record  
7 than an MDS in both years.

8           In addition, the number of enrollees with a SNF,  
9 skilled nursing encounter, increased by about 10 percent.  
10 However, in both years, only half of the enrollees with an  
11 MDS had a skilled nursing encounter record, suggesting that  
12 many skilled nursing encounter records are missing or  
13 mismatched with MDS data.

14           Given that we found missing encounter data for  
15 some types of services, we conducted similar comparisons at  
16 the contract level to see if a subset of MA contracts  
17 submitted complete data. We limited our analysis to  
18 contracts with 2,500 or more enrollees in 2015 and then  
19 focused on contracts with a MedPAR inpatient stay match  
20 rate of at least 90 percent. Fifty-two contracts met these  
21 criteria and had an enrollment of about 2 million  
22 beneficiaries.

1           Of the 52 contracts, average match rates for the  
2 other services were 94 percent for dialysis but only 65  
3 percent for home health and 68 percent for skilled nursing.  
4 Only seven contracts had match rates of at least 90 percent  
5 for all four datasets.

6           Using a subset of contracts to analyze MA  
7 utilization would require researchers to consider the  
8 generalizability of any findings. For example, the seven  
9 contracts with high match rates are all sponsored by health  
10 systems, covered about 200,000 enrollees, and operated in a  
11 small number of health care markets. Staff will continue  
12 to assess the possibility of analyzing a subset of MA  
13 contracts, particularly as we gain access more current  
14 data.

15           We are now going to turn to a discussion of data  
16 uses, the data used to administer the MA program, and  
17 consider whether encounter data could improve or replace  
18 the current data sources.

19           Through regulation, CMS has chosen to limit the  
20 use of encounter data to specific purposes. Your mailing  
21 material includes more information about each. Over the  
22 next few slides, I am going to focus on three. Two of them

1 are uses for encounter data for risk adjustment, and the  
2 third is use for activities that support program  
3 administration and integrity.

4           First, calculating risk scores. Medicare  
5 payments to plans are adjusted for health status using  
6 diagnostic information included in a risk score. Since  
7 2004, MA plans have submitted diagnostic information  
8 through the risk adjustment processing system, called RAPS  
9 data.

10           The risk adjustment data validation, or RADV  
11 audits, are the only review of RAPS data. In a given year,  
12 5 percent of MA contracts will be audited. So far, audit  
13 results of RAPS data are only available for 2007, and the  
14 results show overpayments of more than 10 percent for 34 of  
15 the 37 contracts audited.

16           CMS has begun to use encounter data as a source  
17 of diagnosis for risk scores. In addition to the system of  
18 encounter data checks mentioned earlier, CMS ensures that  
19 diagnoses from encounters meet risk adjustment criteria.

20           In contrast, for RAPS data, plan officers attest  
21 that the risk adjustment criteria have been made. Starting  
22 in 2015, CMS began using a blend of RAPS and encounter data

1 to calculate risk scores. The transition from RAPS to  
2 encounter data has moved more slowly. For 2019, CMS will  
3 base 25 percent of risk scores on encounter data, with the  
4 caveat that encounter data will be supplemented with RAPS  
5 data for inpatient stays.

6           Next, to calculate risk scores, CMS adds together  
7 the relative treatment costs for all conditions identified  
8 with diagnostic data. Fee-for-service claims have complete  
9 diagnostic and spending data, and CMS uses those to  
10 estimate a relative treatment cost for each health  
11 condition in the risk adjustment model.

12           Estimating the model's relative costs using MA  
13 encounter data instead would offer a couple benefits.  
14 First, the estimated cost to treat each condition would  
15 better reflect MA plan spending, meaning payments to plans  
16 would better align with plan costs. Second, using  
17 encounter data would alleviate the need for one current  
18 adjustment that accounts for differences between fee-for-  
19 service and MA diagnostic patterns.

20           One reason MA encounter data are not used to  
21 estimate the model is that spending data are not complete.  
22 Spending data are not submitted for encounters for which



1 the provider and the plan have a capitated arrangement.  
2 Staff will continue to monitor efforts for this use of  
3 encounter data.

4           In addition to the datasets already mentioned,  
5 plans also submit bid data, which includes an estimate of a  
6 plan's prior year spending patterns for all services.

7           When plans submit data for bids, risk adjustment,  
8 quality measurement, and other single purposes, plans often  
9 summarize their own internal encounter data. One  
10 consequence of administering the MA program with single-  
11 purpose datasets is that the datasets are unable to be  
12 linked together. Having complete encounter data would  
13 allow CMS to generate a complete picture of how plans  
14 administer the Medicare benefit. Similarly, policymakers  
15 and researchers could evaluate plans' innovations in care  
16 management and delivery.

17           Finally, once plans submit complete encounter  
18 data, CMS can summarize data consistently across all plans  
19 rather than relying on each plan to summarize and submit  
20 their own data. To illustrate why this would be an  
21 improvement, we turn to our comparison of HEDIS and  
22 encounter data.

1           In the Health Effectiveness Data and Information  
2 Set, or HEDIS data, plans summarized their internal  
3 encounter data and submit counts of office visits for their  
4 enrollees. We compared 2015 HEDIS counts to our summary of  
5 the encounter data using HEDIS specifications.

6           First, we found that 80 contracts that had the  
7 requirement to submit beneficiary-level HEDIS data did not  
8 do so.

9           Next, for contracts that submitted both HEDIS and  
10 encounter data, we aggregated the count of office visits to  
11 the contract level and found significant variation between  
12 the datasets. Less than half of contracts submitted a  
13 count of office visits through HEDIS that was within 10  
14 percent of the number of visits reported in encounter data.  
15 Of the remaining contracts, about half reported more than  
16 10 percent too many office visits, and the other half  
17 reported more than 10 percent too few office visits in the  
18 HEDIS data relative to the encounter data.

19           Finally, we compared counts for individual  
20 beneficiaries and found that only 58 percent had a count of  
21 office visits in HEDIS that was within one of the number  
22 reported in encounter data.

1           In summary, CMS has continued to revise the  
2 process of identifying encounter data submission errors and  
3 providing more specific feedback to plans about the  
4 disposition of encounters. For 2015 and 2016, CMS has  
5 extended submission deadlines to accommodate these  
6 revisions.

7           In regulations, CMS has identified specific uses  
8 for the encounter data, including the few we discussed  
9 today. Encounter data are already used to calculate MA  
10 risk scores. This use requires a lower level of  
11 completeness because diagnoses need to be submitted on only  
12 one physician inpatient or outpatient encounter during the  
13 year. Other uses, such as comparing to fee-for-service  
14 utilization, would require more complete encounter data for  
15 all services.

16           Our analysis shows that preliminary 2015 data  
17 generally improved over 2014. While we believe that this  
18 sort of organic improvement will continue, it will take a  
19 long time for encounter data to be complete, if relying  
20 only on current incentives to submit encounter data.

21           Therefore, we identified some options the  
22 Commission could pursue during the next cycle that would

1 provide CMS direction on ways to improve the completeness  
2 and accuracy of the data.

3           First, CMS could compare encounter data to other  
4 sources of MA utilization and require plans and providers  
5 to address missing or mismatched data. CMS already  
6 conducts an inpatient stay comparison with MedPAR data and  
7 provides feedbacks to plans, but CMS could expand  
8 comparisons to the other datasets.

9           Next, CMS could collect a summary of plans'  
10 internal encounter data and report whether all encounters  
11 were successfully submitted. This information would  
12 supplement the feedback CMS already provides.

13           A third option is for CMS to develop measures of  
14 encounter data completeness and accuracy and include them  
15 in plan star calculations.

16           A fourth option would encourage CMS to continue  
17 increasing the portion of risk scores based on encounter  
18 data.

19           And finally, CMS could use encounter data to  
20 inform plans' bids. For example, CMS could check that  
21 spending data included on each bid is consistent with the  
22 submitted encounter data.

1           We would be happy to discuss each of these  
2 options on question, and if there is interest, we will  
3 bring more detailed discussion during the next cycle.

4           That concludes our presentation. Thanks.

5           DR. CROSSON: Thank you.

6           We are open for clarifying questions. I see  
7 Brian, David, so we'll move up this way. Brian.

8           DR. DeBUSK: First of all, thank you for a really  
9 great report.

10           On page 10 of the reading material, something  
11 caught my eye. They were talking about \$1,850 per sampled  
12 beneficiary of error in the 2007 RADV audit summary. If I  
13 extrapolate that out -- and maybe I'm just being really  
14 naive, but 59 million beneficiaries, 31 percent MA rate,  
15 that's like \$34 billion. Are they just that good at  
16 finding the bad actors in these RADV audits, or is that a  
17 ballpark figure for what we're really looking at?

18           DR. JOHNSON: There was some attempt to focus on  
19 plans that might have had increases in their HCCs that were  
20 increasing faster than other contracts, so there might be  
21 some selection there that you would not have the same  
22 extrapolation to the entire AM program.

1 DR. DeBUSK: Okay. I had always worked with a  
2 ballpark figure of about 10 percent, you know, about \$17  
3 billion or so. Is that closer to the -- I know this is an  
4 imprecise --

5 DR. JOHNSON: I don't think we know for sure.  
6 This is the only year of data for the RADV audits that the  
7 results have been published.

8 DR. DeBUSK: Okay. Thank you.

9 DR. CROSSON: David.

10 DR. NERENZ: Thanks. I just wanted to make sure  
11 I understood correctly a point you made. I think you're on  
12 the bottom of Slide 17. Is it so that plans don't have or  
13 don't submit encounters when the providers are in capitated  
14 arrangements? Did I hear that correctly?

15 DR. JOHNSON: They're not required to submit that  
16 spending data for those encounters, that's correct.

17 DR. NERENZ: Okay. So not required to. Do they?

18 DR. JOHNSON: Generally, no, we believe.

19 DR. NERENZ: Okay. How big a gap is --

20 DR. MATHEWS: Andy, sorry to interrupt. Can you  
21 clarify, they do submit the encounter record, but it does  
22 not contain the payment field or payment data.

1 DR. JOHNSON: That's correct, yes.

2 DR. NERENZ: Okay. Now, that's an important  
3 distinction.

4 DR. JOHNSON: Yes

5 DR. NERENZ: That's why I wanted to get into  
6 this. Okay. So it doesn't include the payment. Do you  
7 have any idea, as a percent of total, how much is missing  
8 there? Which is essentially how many providers are in  
9 capitated arrangements.

10 DR. JOHNSON: On an earlier year of data, we did  
11 a back-of-the-envelope calculation to figure out how much  
12 total dollars we thought were spent to providers based on  
13 assuming that 85 percent of total payments to plans go to  
14 medical services, and then we summed up the spending amount  
15 in encounter data. I believe this was for 2014. I'll have  
16 to check the exact year. But it was about 30 percent lower  
17 total in spending data summed from the encounter data on  
18 that back-of-the-envelope --

19 DR. NERENZ: And it's attributed to -- that  
20 missing 30 percent is what's in the capitated arrangements?  
21 Is that --

22 DR. JOHNSON: We believe so. That's our best

1 understanding.

2 DR. NERENZ: Okay. And do you have any idea, is  
3 that going up or down over time?

4 DR. JOHNSON: We have not looked for 2015.

5 DR. CROSSON: Bruce.

6 MR. PYENSON: A question for Jennifer. I was  
7 very puzzled by the issue with encounter data because I  
8 think of very large claims databases like Truven MarketScan  
9 or the Optum database that have, in effect, full encounter  
10 information on, you know, tens of millions of lives  
11 longitudinally. And it seems as though the process of  
12 submitting encounter data for MA plans is somehow  
13 dramatically different from the normal processes that drive  
14 the industry, you know, the full industry of health care  
15 and health insurance and benefits. And I'm wondering if  
16 you've flow-charted or something what's this EDS system  
17 that's creating such a disconnect or a comment on that.

18 MS. PODULKA: It's a good question. We have a  
19 comment, but not necessarily a full answer for you. We did  
20 try to note that we obviously can't tell exactly where the  
21 issues originate, if it's the plans not submitting or the  
22 system not accepting. We have heard from some plans about



1 issues with the system accepting records or processing  
2 records. CMS acknowledges these and is working to change  
3 them over time.

4           We are a few years in, so I think it would make  
5 sense for a government contract to evolve over time and not  
6 be at full maturity level yet. Even things like Truven  
7 MarketScan did evolve over years, and they do make  
8 refinements over time. They have been in place a bit  
9 longer than the encounter data system is. I think it's  
10 improving. I can't tell you exactly like this is how much  
11 of the issue -- share of the issue that centers on the  
12 system and how much centers on the plan.

13           DR. CROSSON: David.

14           DR. GRABOWSKI: Thanks for this work. This is  
15 incredibly important, so I'm very supportive of this  
16 effort.

17           I was really interested, maybe on Slide 9, you  
18 undertook all these different comparisons using MedPAR, the  
19 information-only claims, the OASIS, the MDS, the dialysis  
20 comparisons. That was really interesting. And I don't  
21 mean this to crowd out this current effort because I think  
22 getting encounter data is really important. But as a

1 question, does MedPAC -- how much has the Commission relied  
2 on these sources to compare utilization in the past? And  
3 is that an effort that -- as a sort of interim step could  
4 we make better use of these different sources? And I'll  
5 give an example. Some of Jon's colleagues at the  
6 University of Minnesota had a paper, I think last year, in  
7 Health Affairs, led by Peter Huckfeldt, where they looked  
8 at for post-acute-care use, what's the difference across  
9 Medicare Advantage and fee-for-service, just using the  
10 information-only claims from MedPAR. And so could we be  
11 doing more here to do MA-fee-for-service comparisons while  
12 we're waiting to get the comparison data right?

13 DR. JOHNSON: We may be able to do more. That's  
14 something certainly to explore. I think it's important to  
15 also distinguish that for all of the data sets we compared  
16 to, we also did some comparisons for the fee-for-service  
17 claims data just to see what we would expect, and they  
18 across the board were much better. There were very high  
19 match rates, in particular for the OASIS and MDS, where we  
20 found low match rates in the encounter data.

21 Was there a second part that we haven't  
22 addressed? Okay. Thanks.

1 DR. CROSSON: Okay, questions. Pat.

2 MS. WANG: This actually is the follow-up to  
3 Brian's question about the RADV audits. Can you remind --  
4 CMS was working on a fee-for-service normalizer or  
5 adjustment or something like that. Can you remind us what  
6 that was supposed to do? Because I think that that's one  
7 of the reasons that a lot of these things have not been  
8 finalized.

9 DR. JOHNSON: I'm not aware of how the adjustment  
10 would function or what exactly it is adjusting for. So,  
11 unfortunately, I can't. But that is something that  
12 hopefully we'll learn about in the next round. I think  
13 that came about in a sampling methodology that's supposed  
14 to apply to future audits, so I think we'll find out more  
15 as information about those audits becomes available.

16 MS. WANG: The other thing is that in the paper,  
17 towards the end there were a few next steps to perhaps, you  
18 know, like increase the accuracy as well as the  
19 completeness of encounter data submissions. I'm just  
20 curious whether -- because, obviously, this is a very  
21 important topic. It is excruciatingly detailed and  
22 difficult, and I don't know why, Bruce, but to get the

1 complete match, you know, I'm wondering. Truven, it's like  
2 a one-way submission as opposed to Truven coming back to a  
3 plan, for example, and saying this is what I have for you,  
4 does this match exactly what you think you submitted? And  
5 I know that there have been problems back and forth. Do  
6 you have a view, like what things should CMS really focus  
7 on to have the biggest bang for the buck, understanding  
8 that this is very, very detailed and complicated and kind  
9 of agonizingly -- so, for example, you know, on page 24 one  
10 of the next steps was that plans submit records for each of  
11 the provider data types and, you know, have the feedback  
12 and everything. I think there's probably more -- there  
13 might be more juice in the lemon if we just went deeper on  
14 one provider type, let's say inpatient, you know, because  
15 right now I think that what CMS gives back to plans is  
16 still pretty high level.

17           So do you have a view on that? I had concerns  
18 about just increasing the amount of sort of lackluster work  
19 that's going on, on both sides right now, as opposed to  
20 trying to hone deep.

21           DR. JOHNSON: I don't know that we have a best  
22 option of the ones we've presented, but I think that for

1 the feedback that CMS does provide, particularly on the  
2 MedPAR comparisons, I think they provide information about  
3 the rates of matches and extra encounters that were matched  
4 to MedPAR and generally the mismatch as a summary piece of  
5 information, but those comparisons could be expanded to  
6 other data sources, as we mentioned, but also could provide  
7 specific information about, you know, which encounters were  
8 not matched or which inpatient stays in the MedPAR data are  
9 missing any information that would provide some better  
10 effort to adjudicate the two data sets together.

11 MS. PODULKA: And one thing that we talked about  
12 at the staff level that we're sort of missing from our  
13 perspective is why, so we can show you these statistics.  
14 We're not best situated to answer OI question. CMS is a  
15 little better situated. They have regular contacts with  
16 the plans. So if they shared this type of information, the  
17 comparisons that we've done here, and asked why is there a  
18 mismatch on your plan from what you submit to HEDIS versus  
19 the encounter data, they might have a very different answer  
20 than what we might hypothesize, and that could be very  
21 informative about what the next steps should be.

22 DR. CROSSON: On this point, Kathy, or just in

1 line? Okay. So we're coming down this way. Jack.

2 DR. HOADLEY: So I had a question on Slide 14.

3 You talked about the seven contracts that had at least 90

4 percent match, and I think you mentioned they were

5 integrated systems, integrated health systems with health

6 plans?

7 DR. JOHNSON: At least all health system

8 sponsored.

9 DR. HOADLEY: Sponsored. Are there other such

10 organizations of that category that didn't do so well?

11 MS. PODULKA: There were even contracts from the

12 same parent organization that didn't do so well, so we

13 couldn't even say these did well, the parent organization

14 must really know what they're doing for submitting data.

15 Some of their contracts did better than some of their other

16 contracts.

17 DR. HOADLEY: So that actually goes right to the

18 second part of my question. I was just wondering whether

19 there's any ability to sort of look across the submission

20 quality measures that you do and say are there certain

21 plans, certain organizations, certain types of

22 organizations that are doing better, which might give us

1 some clues to the infrastructure or the sort of  
2 organization characteristics that make it easier for them  
3 to do this well.

4 MS. PODULKA: We will definitely check that when  
5 we get the revised 2015 and see if it changes.

6 DR. HOADLEY: Good. Thank you.

7 DR. CROSSON: Alice.

8 DR. COOMBS: So Jack actually stole my thunder.

9 [Laughter.]

10 DR. COOMBS: I was going straight for the money.  
11 One is that did you have any adopters or poster child, this  
12 is the five-star category in terms of encounter data from -  
13 - but it sounds like within each entity there's good  
14 performing and bad performing on all levels. Is that  
15 correct?

16 MS. PODULKA: There was one single contract where  
17 the parent organization only had one contract. But this  
18 would be a single contract from one organization that did a  
19 really good job, and they just don't have other contracts  
20 from the same parent organization. So that would be a  
21 really, really small subset to get into.

22 DR. COOMBS: Right. So as I read this, I was

1 wondering, how do they do bundle -- how do they do episodes  
2 of care if they don't have accurate data? And how do they  
3 come with a bid if they don't have accurate data? I'm just  
4 thinking, this is like the swap meet.

5 MS. PODULKA: Well, this is one thing where we  
6 think there's a disconnect between their internal encounter  
7 data or their internal data and the translation or  
8 transmission of that to CMS. And we don't know then with  
9 that transmission is it the plan or is it CMS' system. But  
10 we're not saying that the plan's internal data is --

11 DR. COOMBS: There's just a gap between --

12 MS. PODULKA: We hypothesize there's a gap.

13 DR. JOHNSON: Yeah, and to add to that, we've  
14 heard anecdotally that getting information from providers  
15 to the plan is sometimes a challenge as well at the level  
16 of completeness and all of the data elements that are  
17 required for CMS. So it is not necessarily just from the  
18 plan to CMS, but provider to plan --

19 DR. COOMBS: SO when plans come forth with a bid,  
20 they actually have some information. How good is that  
21 compared to what you're seeing on the other side?

22 DR. JOHNSON: We haven't compared any bid



1 information to the encounter data. I think at a minimum  
2 plans would know how much money they paid out to different  
3 provider types, and so a good chunk of the bidding  
4 information would rely on that data, which is not -- there  
5 is no one-to-one link to the encounter data for that --

6 DR. COOMBS: So it's fair to say that they have  
7 some internal information that we don't have access to  
8 because there's a gap between the information that actually  
9 CMS receives?

10 MS. PODULKA: It's possible that some of their  
11 information, such as bid information, might be in a form  
12 that they've more aggregate than is required for the  
13 encounter data so that the plan might have difficulty in  
14 translating their internal data into the correct form to  
15 submit. Again, we're going off a lot of hypotheses here,  
16 though.

17 DR. COOMBS: Okay, okay. And then the last  
18 question. When David asked the question about what  
19 percentage of providers are under the capitation, what  
20 percentage of overall providers could you say that we don't  
21 have accurate encounter data? Could you give us a number  
22 of...

1 MS. PODULKA: We haven't flipped it to do the  
2 encounter -- the provider perspective.

3 DR. CROSSON: Okay. Kathy.

4 MS. BUTO: I was really intrigued by Slide 21,  
5 the last bullet, use MA encounter data to inform plans'  
6 bids, because it's always true that if encounter data has  
7 anything to do with payment, it will get better. So the  
8 question is: What did you mean by that? And is this  
9 something we could really pursue? Because I'm just  
10 curious, that was -- that's always been the experience in  
11 Medicare, that the data don't get better until you link it  
12 directly to payment.

13 DR. JOHNSON: I think that option was derived out  
14 of our attempt to answer another question which comes up a  
15 lot: How do you know when the encounter data are complete?  
16 And so looking at the fee-for-service space, there's a  
17 direct link between payment and claims, and we analyze fee-  
18 for-service utilization broadly. I think the most closest  
19 analogy for the MA encounter data would be through the  
20 bids, and that there is a less direct but at least a  
21 linkage to the amount of data going in and the basis for  
22 the bid --

1 MS. BUTO: So you're not thinking of something as  
2 specific as an adjuster to the bid. Or are you? You  
3 haven't --

4 DR. JOHNSON: That's farther than we --

5 MS. BUTO: You haven't fleshed that out yet,  
6 okay. I think this is one that we -- it would be worth...

7 DR. CROSSON: Okay. So seeing no further  
8 questions, we're going on to the discussion period. I  
9 would ask you to put up Slide 21. It's already up. There  
10 are a number of potential directions here. They more or  
11 less increase in intensity, if you want to call it that, as  
12 we go down the list. So I would be interested in  
13 discussion about where on this list people feel we ought to  
14 be focusing, if you have a thought about that, and we're  
15 going to start with Craig.

16 DR. SAMITT: Thanks, Jay. So I was hoping, given  
17 that this is my last MedPAC meeting, that I would have all  
18 of my questions answered about encounter data, but, alas.

19 [Laughter.]

20 DR. SAMITT: At least we've made a significant  
21 start.

22 You know, while I recognize sort of all the

1 questions and all the processing about the accuracy of the  
2 data, we really just need to jump to the recommendation  
3 slide right away, and with the presumption, I would assume,  
4 that we all feel the data is good and that you get what you  
5 pay for. And so I think the question is: Of all of these  
6 recommendations, which ones will enable us to fill out the  
7 comprehensive portfolio of encounter data that we need to  
8 really put it to good use?

9           You know, I think I would even defer to you,  
10 given sort of prior experiences, which of these measures do  
11 we think will most motivate the submission of data,  
12 comprehensive data? And I'm inclined to say all of the  
13 above. But I suspect that that's not an elegant answer,  
14 and that there are some here more than others that are  
15 going to be more effective, and I'm more inclined to be on  
16 the bolder side, which may be on the lower element of this.  
17 And so I am very much in favor. I just think we have to  
18 pick our poison and figure out what's both going to be the  
19 most effective means of getting the data, but also the most  
20 efficient for those that are making the submission that we  
21 don't create perverse incentives in any way.

22           The thing that's more interesting to me is really

1 Slide 15. I wonder while we're waiting for perfect data to  
2 understand how we can use the data as it exists today  
3 without worrying about an incomplete data set, and you  
4 alluded to that a little bit. You know, I think we should  
5 not wait until we get all the data, but begin to understand  
6 where this data can be put to good use. And you referenced  
7 that to some degree, but I also just wonder what else is  
8 missing on this list. When we in early years began to ask  
9 for encounter data, I think it was also because we presumed  
10 that when we looked at MA encounter data, we would see sort  
11 of pockets of excellence in how systems or plans or  
12 providers were actually practicing health care in a more  
13 optimized, higher-quality, more efficient manner, and that  
14 encounter data would point us to examples where we should  
15 be thinking of new payment policies, because high-  
16 performing capitated MA groups, which we presume are  
17 delivering great results, are demonstrating utilization  
18 savings in areas that we should be paying more attention  
19 to. And for some of the topics that we talk about, like  
20 site neutrality, and some of the ambulatory-sensitive  
21 conditions and other things that we've been reticent to  
22 sort of expand lists, I think it's because we haven't

1 really looked at the encounter data to feel save that in  
2 certain settings most things are being done in an  
3 outpatient setting, not in an inpatient setting. And  
4 without the comprehensive nature of the encounter data, we  
5 can't see, I think, where there are opportunities to  
6 encourage and incent a different clinical practice model.  
7 And I don't know if we need perfect data to really look at  
8 those opportunities. The data set that we've got today may  
9 be sufficient.

10           So I'd love to expand this list of MA encounter  
11 data and really -- and whether that's at the July meeting  
12 that the Commissioners have or what have you, to think  
13 about it, if we have this good encounter data, how else  
14 would we put it to use other than just this very narrow  
15 scope that we see here.

16           This is great work, by the way. Thank you.

17           DR. CROSSON: Thank you, Craig. We will now  
18 start the discussion. I think -- I can't remember what  
19 I've done here but I think I have to start on this side,  
20 with Alice.

21           DR. COOMBS: Thank you so much, Andy and  
22 Jennifer. I think you'll have job security.

1 [Laughter.]

2 DR. COOMBS: I think that the bottom line on the  
3 recommendation slide strikes me as probably one of the most  
4 effective means of dealing with this, and that is to use  
5 encounter data as a part of the bid. And I was hoping to  
6 see something a bit more robust in terms of going forward.  
7 And, you know, this is great discussion in the paper  
8 regarding how this data can be used, but one specific thing  
9 was the whole notion of the relationship to fee-for-service  
10 and comparing how one does over the other. And I don't use  
11 the word "promising" when that discussion comes up, because  
12 I think it does not give me what I would have hoped to have  
13 seen with this process.

14 And the other piece of it is I have long had this  
15 bias regarding MA plans in terms of how well do they look  
16 in terms of risks and in terms of demographics, and I don't  
17 get a clear-cut answer. A 10 percent difference may seem  
18 like a good performance on the test but I will compare it  
19 pulse oximeter. If you had a 90 percent oxygen saturation,  
20 that's actually failing.

21 So the key thing is I think our bar for MA plans  
22 encounter data has got to be risen higher, because I don't

1 think we would probably have the same group of flexibility  
2 in other industries, and that, to me, was the most striking  
3 thing, is that this process of encounter data collection  
4 has been going on for a long time, and we were hoping that  
5 we would also use some of the data to look at population  
6 health measures as well. That's not here. We're not in  
7 that lane yet. And so, for me, I look at it as like a lot  
8 of opportunities for improvement, but you might be around  
9 for a very long time.

10 DR. CROSSON: Jack.

11 DR. HOADLEY: So reflecting on that very long  
12 time, I remember sitting at HHS 20 years ago and hearing  
13 the discussions about, you know, we're about to get started  
14 on getting the encounter data rolling, and, you know, it  
15 won't take all that much time. I can remember, I think it  
16 was the first meeting of our class, when Craig raised the  
17 question of whether we'd have a discussion about encounter  
18 data, and six years later we're having that discussion,  
19 which is no fault of the staff. It's the fault of what the  
20 data have been like.

21 And so for a process that's got 20 years going, I  
22 think it's very frustrating that these data are still not



1 broadly usable, although I also agree that we should do the  
2 best with what we've got. I mean, I certainly don't want  
3 to lose that point. But we should continue to document and  
4 test both what works but also, you know, where the  
5 shortcomings of these data are. And I think, you know,  
6 looking to this list of recommendations, I think, you know,  
7 we should look to be as aggressive as possible.

8           You know, the links to payments, obviously  
9 several people have noted are -- whether that's through the  
10 bids or other sorts of payment aspects, the risk adjustment  
11 -- are important, because where there's payment the data  
12 become more complete. The stars certainly, you know, could  
13 help. That eventually becomes a link to payment because if  
14 you fail on your stars you don't get the payment bonuses.

15           But I think we also have to consider whether  
16 there should be some kind of movement towards, you know,  
17 some stronger penalties or enforcement mechanisms that  
18 basically says, you know, you're not going forward, in some  
19 manner. Again, I don't know quite what the right penalty  
20 is to assess. I mean, as an extreme you can say you don't  
21 get to participate in the program unless you demonstrate --  
22 you know, if you have two years of failing at these 50

1 percent of the home health encounter kinds of levels, or  
2 even at 90 percent, you know, you're going to get kicked  
3 out of the program. That might get their attention really  
4 fast.

5           So I think, you know, thinking about being as  
6 aggressive as possible is important here.

7           DR. CROSSON: Okay. Pat.

8           MS. WANG: So I think that this is really  
9 important and thank you for all of your good work on this.  
10 I think it's critically important to, like, race towards  
11 this, because we are all flying blind right now, with  
12 respect to the MA program, without this information.

13           To me, of the recommendations on Slide 21, I'm  
14 just trying to think about how to be most helpful to the  
15 process and to CMS. I would encourage us to, you know,  
16 maybe probe a little bit more deeply about more specific  
17 recommendations for improving -- because part of the  
18 problem now, as I understand it, and this is like way over  
19 my head, is that, you know, I mean, plans, Alice, have tons  
20 of information. Like, please don't think that plans just,  
21 like -- I mean, they pay bazillions of claims every year.  
22 They submit, you know, their star HEDIS measures in a very

1 excruciatingly structured way that is audited.

2           I mean, it's, like, really -- the issue is, to my  
3 understanding, you know, from the beginning the submission  
4 of this information, basically, which is administrative,  
5 and rejections, there are filters that CMS applies.  
6 There's an algorithm that folks didn't know what it was,  
7 didn't understand it, so it would get rejections back and  
8 would not know why. So this has been a process of working  
9 through.

10           I think, though, it is really important for both  
11 sides to have a date, because I agree that otherwise it  
12 just keeps going on and on and on and on. And, to me, the  
13 one that is most promising is the fourth bullet, which is  
14 risk scores, because that is a process that's already kind  
15 of underway, and we were supposed to be blending  
16 increasingly RAPS and encounters. And, you know, this year  
17 CMS even pulled back and now they're going to go forward to  
18 25 percent. It probably would make the most sense to just  
19 sort of say my date -- it has to be entirely encounter  
20 based and then everybody will kind of pull together and get  
21 the work done.

22           You know, there were -- I don't know what the

1 state is but there were industry groups where, you know,  
2 CMS was sitting down with the plan associations, et cetera,  
3 to try to get through this stuff, and I think that's the  
4 only way to get it done, is a big slog, but it really has  
5 to happen.

6           But it would also encourage -- this is what a  
7 mentioned before, because I think that it's hard, even with  
8 the current -- even if you're just talking about inpatient,  
9 and it's less about where the care is, it's more about  
10 let's just match one service type so that everybody  
11 understands, like, what's being accepted, what's not being  
12 accepted. Maybe CMS can just take one service type and  
13 provide back more detailed information.

14           So, for example, if it's inpatient and we're  
15 submitting counter data, maybe CMS can let us know what  
16 they actually are recording as the claims payment, the  
17 dollar amounts, so that we can compare them to our claims  
18 lag information, to see if that's matching. You know, just  
19 more data elements of one provider type could perhaps  
20 advance the process, because I think right now it's just --  
21 it's kind of high level and people are having trouble  
22 digging down underneath.

1           So that's why I would be more in favor of going  
2 deeper into one provider type service than kind of  
3 spreading it out, because then we just get this sort of  
4 like mediocre back-and-forth, like, well, we don't know,  
5 and it's rejected and we don't know why, for more provider  
6 types. So I would encourage us to continue to be as  
7 precise as possible, but I do think that the risk or blend  
8 needs to have a firm date, and at a certain point it's just  
9 -- there's no pulling back, and that will motivate people  
10 to get it done.

11           DR. CROSSON: Thank you. Jon.

12           DR. CHRISTIANSON: Yeah, I agree with you  
13 totally. I think we're talking about a lot of technical  
14 points. This is a great chapter, by the way. It really  
15 helped me kind of get my mind around what's going on here.

16           I have a longer time horizon than you, Jack. I  
17 can think back 40 years trying to get good encounter data  
18 with health plans, unsuccessfully.

19           The technical issues are really difficult. I  
20 think we need to keep in mind that, on a fairly rapid rate  
21 of growth, more and more Medicare beneficiaries are in  
22 Medicare Advantage plans. We know less and less about what

1 the Medicare population is getting for care. So this is  
2 not just a technical problem. I think this is really a  
3 fundamental problem for the program.

4           As you guys know, there have been other proposals  
5 out there, in addition to yours, about what should be done  
6 with the encounter data, and one recent one was release it,  
7 with all its warts, and let researchers deal with it and  
8 point out where the problems are.

9           I would even go further and say I just don't  
10 understand why this whole process can't be more  
11 transparent. Why can't we publish every year which plans  
12 are delivering which data, where the data are complete,  
13 where they aren't, and let the public, let the -- hold  
14 plans accountable for this. I don't see -- and it will  
15 also hold CMS accountable. If the problems is -- you can't  
16 tell now, but if the problem is CMS just has some -- you  
17 know, is constantly turning back data on this point or that  
18 point, and then so the plans are actually submitting the  
19 data but the problem is that CMS isn't, let's find out  
20 about that. Let's make that public. And if the problem is  
21 that plans just aren't working at it, or maybe we have two  
22 or three or four plans that are really bad, let's make that

1 public, and let's put some pressure on plans. Why are you  
2 so bad? Why can other plans do this and you can't do that?

3 I think that would be a recommendation if we're  
4 moving forward towards recommendations at some point in the  
5 future, that I could really get behind.

6 DR. CROSSON: Okay. David.

7 DR. GRABOWSKI: Thanks. Hear, hear to Jon's  
8 point about transparency, and I would love to see that  
9 added as an additional bullet here.

10 I wanted to go back and just echo Kathy's truism  
11 she gave us earlier, around the closer data are tied to  
12 payments, the better are those data, and I think among  
13 these recommendations -- and I think, Jon, yours would very  
14 much fit in this vein -- those towards the bottom of the  
15 list, the MA stars, the risk scores, the plans' bids, that  
16 help influence payment, are really going to lead us towards  
17 better encounter data. And so until we do that we're just  
18 not going to get there. That's point one.

19 My other point, in the meantime, and I think  
20 Craig mentioned this -- I want to go back to my earlier  
21 comment -- I do think there's data we currently have that  
22 we might be able to use. It may not be accurate across the

1 country, for all plans, but what can we do with the  
2 existing encounter data, and then along with that, what can  
3 we do with the information-only claims, from MedPAR, what  
4 can we do with the MDS, what can we do with the OASIS,  
5 these other sources. So I hope that we can move towards a  
6 recommendation down the road that encourages the plans to  
7 submit more accurate encounter data by tying the data to  
8 payment, but beyond that, I think, in the interim, we  
9 should look towards some steps with the existing data. And  
10 so I hope we can sort of take a two-track approach here.  
11 Thanks.

12 DR. CROSSON: Dana.

13 DR. SAFRAN: Yeah, just a couple of thoughts,  
14 which mostly have been mentioned. So I, too, like this  
15 list, and in particular really like the idea of tying the  
16 encounter data to stars and risk scores and on down from  
17 there, because I do think that, you know, Cathy is the one  
18 that made the point first, that, you know, until these  
19 matter for payment we'll continue to struggle.

20 That sort of connects to a question that I've  
21 had, which is -- or maybe it's more of a point -- that, you  
22 know, I think it's CMS's framework that has four different



1 stages of payment reform, the sort of, with the fourth  
2 point of the model being where there's, you know, actual  
3 capitated payment. And I've wondered, always, how, when  
4 we're in that space, we really understand and have  
5 information about what's going on with individuals and  
6 their care.

7           So I know we're not talking about the ACOs right  
8 now, but I do have a question about how all of this works  
9 in Next Gen, because I believe that is a model like MA,  
10 where there's a capitated payment, and I'm curious whether  
11 we're similarly blind there about what the care is that  
12 beneficiaries are receiving.

13           And I'll just end by underscoring, you know, a  
14 point that Craig raised that I think is really important,  
15 which is, you know, given that we will, for the foreseeable  
16 future, be in this situation that we're in now, where we  
17 have a real plurality of models under which beneficiaries  
18 are receiving care, I think it's absolutely critical for us  
19 to be able to compare across -- to understand the quality  
20 of care across them, to understand utilization across them,  
21 to understand risk scores, and everything else that we want  
22 to know, to understand the effectiveness of these programs,

1 and which members are being served well by certain types of  
2 programs and others, that whole list of questions, and we  
3 cannot get there until we have universally complete and  
4 accurate encounter data across all of our programs. So I  
5 would just underscore that point.

6 DR. CROSSON: Okay. Thank you. Bruce.

7 MR. PYENSON: Yes. As someone who works with  
8 tens of millions of lines of data on a daily basis, I want  
9 to emphasize the difficulty of figuring out where  
10 challenges originate. I've heard some of the Commissioners  
11 assume that it's the health plans. I haven't heard the --  
12 I haven't read that in the analysis here. And I've heard  
13 from authors, a series of hypotheses, and another one, in  
14 my mind, a reasonable one, is that the system that CMS has  
15 set up is dysfunctional, or is not designed to be  
16 functional, or is not being functional in the way it should  
17 be.

18 So I think before we make an assumption on that,  
19 I mean, is to -- I'd encourage the Commission to take a  
20 hard look at what the process is. Perhaps flow-chart it,  
21 and to see if these recommendations, which are really, I  
22 think, terrific, are being served by that process, or if a

1 different process would get us there a lot faster. So I  
2 think understanding this odd disconnect.

3           Now the truth, I think, is likely that, you know,  
4 there's problems on both sides, but it's not clear to me  
5 that, based on the information we have, that we're going to  
6 get there any time soon, given the existing structure, or  
7 if we're going to keep kicking this down the road.

8           DR. CROSSON: So just on that point, Bruce, and I  
9 may be wrong, but as I was thinking about this it seemed to  
10 me that, you know, given the variability that we had in the  
11 presentation of results, where I guess you said you had one  
12 plan that all, you know, was 100 percent on everything and  
13 there are others that are 100 percent on this part but not  
14 another part, that I guess on that basis I was making the  
15 assumption that it was more likely to be the submission  
16 rather than the acceptance, because if it were the CMS part  
17 of that it would be hard to explain why.

18           MR. PYENSON: I mean, it could be, but it could  
19 have been the day of the week that the data was submitted  
20 on. Look, I mean, you see things like that --

21           DR. CROSSON: Yes, I --

22           MR. PYENSON: -- in data. I mean, you just don't

1 know. And a system that doesn't report its failure rates  
2 or rejection rates is questionable.

3 DR. CROSSON: Okay. That's a fair enough point,  
4 and I think if there's a way to sort that through, as you  
5 are suggesting, it would be dispositive of what direction  
6 we take, for sure.

7 Okay. Next. David.

8 DR. NERENZ: Thanks. This is going to end up  
9 being really a question to my colleagues who are in health  
10 plans, so Pat, Dana, Craig, and it started with Craig's  
11 opening comment.

12 I'm curious about how the current system for  
13 getting and using encounter data allows for innovation in  
14 new forms of delivery and new forms of encounters, or  
15 whether, sort of as a corollary to that, the requirements  
16 actually might serve as a barrier to innovation.

17 Quick background. My own organization has had a  
18 tight link between a health plan and a big medical group  
19 for over 30 years, full cap payment through most of that  
20 time. My observation, as a member, as a patient, and as  
21 somebody who thinks about these issues, is that 30 years  
22 ago there was a very clear difference between that

1 arrangement and typical fee-for-service. It is much less  
2 different now. Why would that be so?

3           Well, for example, in the HEDIS system, you're  
4 scored on the extent to which an encounter of one type is  
5 followed over a period of time by an encounter of another  
6 type, and these are defined types. If you introduce a  
7 second, different kind of encounter you use points on your  
8 HEDIS score.

9           I'm wondering now, transferring that thinking in  
10 here, if I'm an organization and I move aggressively to  
11 capitation, and then within that arrangement I encourage  
12 all sorts of innovation -- I want e-visits, I want home  
13 visits by different kind of providers, I want all sorts of  
14 encounters that don't match the template -- it seems to me  
15 that the existence of this requirement might serve as some  
16 drag on that process. But I don't know. Is that a  
17 legitimate concern?

18           DR. CROSSON: Pat, on this point, and then Dana  
19 on this point.

20           MS. WANG: Just very simply, I think that that is  
21 an area of opportunity for the encounter submission  
22 process. I think that that is one area that is in need of

1 development, what goes in and what comes back as recognized  
2 or accepted, or two different things in our experience.  
3 And just talking about primary care cap, if you're talking  
4 about larger, you know, global capitation arrangements that  
5 have different types of services underneath, it gets  
6 complicated.

7           But that's the kind of thing that I think we  
8 don't -- is a chicken and the egg -- we don't get there  
9 unless there's, like, some pressure to get there. But I  
10 would say the state of that is in need of development.

11           DR. SAFRAN: I don't view it as a drag on  
12 innovation. I think that in those situations you recognize  
13 that the measures have to evolve and the way the measures  
14 are specified have to evolve.

15           But the flip side of the problem that you're  
16 pointing to is a problem that I experience as worse, which  
17 is in that situation where you're still running on fee-for-  
18 service architecture, then you have providers who say,  
19 "Well, I want to do telemedicine but what will you pay me?  
20 Can we invent a billing code for all the different ways I  
21 want to innovate?" And people, you know, providers, have a  
22 hard time getting off that sort of mindset, that for

1 everything they do there needs to be a way to code it and  
2 bill it.

3           And so that feels like a much worse stifling of  
4 innovation than I think the stifling of innovation that  
5 I've seen happen in our market, where, you know, they feel  
6 freed up to do all kinds of innovative things. And, you  
7 know, it may not get counted the way that we might like, as  
8 a plan, and we're accountable for it, but I think that's a  
9 much less severe problem.

10           DR. NERENZ: Okay. Well, just to follow quickly  
11 on that, and I'll be done, you know, that actually was sort  
12 of part of my thinking, that if I'm a provider and I want  
13 to do something unique but I'm under a capitated  
14 arrangement, I may not bill for that at all. I may need no  
15 code, and that may be a good thing, but then there's no  
16 recorded encounter with which to ultimately report unless a  
17 system is developed to capture it that's not billing.

18           DR. CROSSON: Last word. Brian.

19           DR. DeBUSK: Actually, it's a great point, and I  
20 want to talk about that in just a second.

21           I do support all the bullets on Slide 21, and I  
22 want to echo the sentiments of Kathy and others that unless

1 we tie this to payment or some penalty, I don't think we're  
2 going to get the data. So I think that's inevitable.

3           Really to build on something, David, that I think  
4 you were talking about, as we get this data, I think  
5 getting the encounter, but also properly cost accounting  
6 for how you record the cost, for example, of capitated  
7 payments or other incentive payments, capturing that cost  
8 data is going to be very, very important as well because it  
9 will ultimately give us a look under the hood of MA because  
10 I'm always interested in where MA efficiencies come from.  
11 Is this excellence in price negotiation and network  
12 narrowing, or is this real case management? Is it real,  
13 using less PAC? Is it really avoiding hospitalizations and  
14 admissions or -- and ED visits?

15           So I do think as we try to get this encounter  
16 data, I hope the staff will keep their eye on how do we  
17 even properly do the cost accounting for how some of these  
18 payments are made because it isn't fee-for-service. That's  
19 the whole point, is these aren't one -- this isn't do  
20 something, get paid, that type of an arrangement, and we  
21 will have to capture that.

22           The final thing I wanted to touch on, I always



1 like to see the debate on coding appropriateness and RADV  
2 audits and EDS versus RAPS and everything in the MA world,  
3 and I think that's fantastic work and work that has to be  
4 done.

5           This is my opportunity, though, to put my  
6 standard plug in that I hope we actually code fee-for-  
7 service appropriately, eventually as well, because I think  
8 a lot of the issues that we have in calibrating these  
9 models and coding intensity adjustments and some of all the  
10 mechanics that we do trying to sort this out, I think if  
11 fee-for-service were coded properly -- not over-coded, not  
12 under-coded -- I think some of these issues would resolve  
13 themselves as well.

14           Thank you.

15           DR. CROSSON: Thank you, Brian, for that, and  
16 thank you, Andy and Jennifer, for good work. We will be  
17 seeing you again. Thank you to the Commission for a good  
18 discussion.

19           We now have an opportunity for a public comment  
20 period. If there are any members of our audience who wish  
21 to make a comment, please come to the microphone.

22           [Pause.]

1 DR. CROSSON: Seeing a couple of individuals.

2 I will make a couple of points. Number one, this  
3 is not the only opportunity or even the most effective  
4 opportunity in providing feedback, particularly before the  
5 Commission addresses some of the issues before it; however,  
6 it is one. We would ask you to identify yourself any  
7 organization that you belong to, and please limit your  
8 comments to two minutes. When this red light returns, the  
9 two minutes will have expired.

10 Thanks very much.

11 \* DR. HENSLEY: Thank you.

12 Good morning, Mr. Chairman and MedPAC  
13 Commissioners. My name is Dr. Justin Hensley, and I am an  
14 emergency physician for Code 3 Emergency Partners that owns  
15 and operates an isolated rural independent freestanding  
16 emergency center ED in Rockport, Aransas County, Texas, a  
17 recently declared federal disaster area since Hurricane  
18 Harvey last August.

19 I'm following up on our company CEO, Dr. Carrie  
20 De Moor's comments at the last meeting concerning the  
21 independent ED facility in Rockport, which is currently the  
22 only emergency services access point in a rural, isolated,

1 80-mile stretch of the coastal bend. It does not get  
2 recognized by Medicare and does not receive any funding at  
3 all.

4           Interestingly enough, with your 35-mile rule, we  
5 are 32 miles from the closest hospital-based ED in the  
6 southwest direction, 38 miles in the northwest direction,  
7 and 52 miles in the northeast direction. And then east of  
8 us is the Gulf Coast, so there's not much there.

9           We are a very real example of the need to  
10 preserve and maintain emergency services in that rural  
11 community that does not fit neatly in that 35-mile radius.

12           What I'm talking about today is not simply  
13 modeling, but current real-world experience of how one such  
14 facility can replace a shuttered critical access hospital.  
15 Our closest hospital actually was devastated by the  
16 hurricane and is completely gone now.

17           Our rural isolated freestanding center is the  
18 functional equivalent of a hospital-owned ED and meets the  
19 same standards, actually has higher standards according to  
20 Texas law. We have a goal of preserving and maintaining  
21 access to that area, but we currently don't get reimbursed  
22 for any of the patients we see.

1           I have in my hand a list of the 687 patients we  
2 saw in the last 128 days of last year that were Medicare or  
3 Medicaid. 475 of them were Medicare.

4           We billed a total of \$888,000 for those patients,  
5 got reimbursed zero because we're not recognized because we  
6 are outside of legislation. Independent freestanding  
7 emergency centers are not recognized.

8           So I ask that in order to preserve this and  
9 maintain this rural access, we work together to get  
10 Medicare to recognize these independent rural freestanding  
11 emergency centers.

12           Currently, one of the biggest banes of existence  
13 in that rural area, as Ms. Thompson mentioned and others,  
14 EMS does not bring us patients, but that's not because we  
15 don't want them. It's because they don't get reimbursed on  
16 Medicare for delivering them to us either, and so instead,  
17 they drive 45 minutes away. So if you happen to have a  
18 heart attack in my parking lot, great, I can take care of  
19 you and call a helicopter. And you can go away. If you're  
20 on the other side of the road and you call 911, they will  
21 pick you up, and they will drive 45 minutes before you see  
22 a single physician because there's no other facility.

1           And we've talked to them about this, and  
2 apparently, they're not bound by EMTALA from what they've  
3 described to me. So they don't have to bring to the  
4 closest center.

5           Thank you.

6           DR. CROSSON: Thank you. Thank you very much.

7           MR. MORAN: Good morning. My name is Christopher  
8 Moran. I'm here on behalf MSP Recovery, which is a data  
9 company that analyzes claims and encounter data to analyze  
10 and identify recoveries and secure them.

11           Given the increasing role that encounter data  
12 plays in the assessment of risk adjustments, Medicare  
13 Advantage plans are being asked to provide more and more  
14 care and being provided less resources to do so.

15           This impacts physicians, providers, and  
16 beneficiaries by creating incentives for more limited forms  
17 of care and creating increased future uncertainty and an  
18 inability to prioritize quality where it's needed.

19           One area that MA plans could see to recoup losses  
20 that they face as encounter data is increasingly utilized  
21 is by achieving reimbursements for medical services that  
22 were provided where a primary payer is responsible.

1           Encounter data provides a basis for the  
2 identification of instances where a beneficiary was  
3 provided care that a primary payer was responsible.

4           The MSP Act -- and CMS has made it clear -- MA  
5 plans and Medicare are payers of last resort, secondary to  
6 any primary plans that might exist. This should make  
7 recovery for instances where primary payer is financially  
8 responsible a straightforward proposition. However,  
9 because of statutory ambiguities and judicial uncertainty  
10 and confusion, there is a significant economic barrier to  
11 the recoveries available by MA plans in instances where  
12 primary payers are ultimately responsible for the care.

13           I would ask that this Commission consider that  
14 when a primary payer skirts his responsibility to provide  
15 payment for services and ask an MA plan to pick up the  
16 bill, Medicare beneficiaries suffer, providers suffer, and  
17 the system as a whole suffers. Stronger statutory language  
18 needs to be implemented to make sure that those who would  
19 unjustly burden the MA plans are punished and are dissuaded  
20 from taking advantage in the future.

21           Thank you.

22           DR. CROSSON: Thank you.

1            Seeing no one else at the microphone, we are  
2 adjourned. We will reconvene at 12:45 today. Thanks very  
3 much.

4            [Whereupon, at 12:45 p.m., the meeting was  
5 recessed for lunch, to reconvene at 12:45 p.m. this same  
6 day.]

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 AFTERNOON SESSION

2 [12:46 p.m.]

3 DR. CROSSON: Okay. Let's see if we can get  
4 seated and get back to our task here.

5 We'll start off the afternoon session with Carol  
6 Carter, who's going to take us through a discussion about  
7 potential uniform outcome measures in the post-acute care  
8 setting. Carol.

9 \* DR. CARTER: Okay. Good afternoon everybody.  
10 For those of you who are new to this broad topic  
11 of post-acute care in the audience, I wanted to provide a  
12 little context for where the Commission has been over the  
13 last several years.

14 In response to a congressional mandate, in 2016  
15 the Commission recommended features of a prospective  
16 payment system to span the four post-acute care settings.  
17 And since then, the Commission has continued to work on  
18 several implementation issues, including the level of  
19 payments and the need for a transition to the new payment  
20 system, an approach that would begin to increase the equity  
21 of payments prior to implementing a PAC PPS, paying for  
22 sequential PAC stays, and aligning setting-specific



1 regulatory requirements.

2           With a unified payment system, we need uniform  
3 outcome measures to compare provider performance across PAC  
4 settings. We've started to develop them, and I'll be  
5 presenting three today as a kind of proof of concept.  
6 Before I get started, I wanted to thank the researchers at  
7 Providigm and at the Urban Institute for their excellent  
8 work on the measures.

9           There are three reasons to develop uniform PAC  
10 outcome measures. We and others have noted the overlap in  
11 the beneficiaries treated in different settings, so we need  
12 uniform measures to compare the care furnished in the  
13 different settings. Uniform measures allow the program,  
14 providers, and beneficiaries to compare outcomes across  
15 settings. The program will be able to evaluate the quality  
16 and the value of its purchases while providers and  
17 beneficiaries will be able to directly compare outcomes for  
18 different types of PAC providers.

19           Second, when CMS implements a unified PAC payment  
20 system, it will be critical to monitor provider  
21 performance, including whether providers maintain quality  
22 of care and furnish appropriate use of post-acute care and

1 other services.

2           Last, uniform outcome measures could be used in a  
3 value-based purchasing policy for all PAC providers. By  
4 tying a portion of a provider's payments to its performance  
5 on quality and resource use, providers would have an  
6 incentive to achieve good outcomes while using resources  
7 efficiently.

8           Today I'll review our findings for three cross-  
9 setting measures: readmissions during the PAC stay,  
10 readmissions during the 30 days after discharge, and a  
11 measure of resource use. Then I'll discuss a couple of  
12 approaches to increase the accuracy of measures for low-  
13 volume providers and end with asking you for your thoughts  
14 on additional outcome measures staff should explore.

15           Working with researchers at Providigm, we  
16 developed risk-adjusted measures of readmissions from home  
17 health agencies, skilled nursing facilities, and inpatient  
18 rehabilitation facilities. The measures do not include  
19 hospital admissions for beneficiaries admitted directly  
20 from the community, and I'll come back to that at the end  
21 of the presentation. The measures also exclude  
22 readmissions from LTCHs for two reasons: First, some

1 readmissions from LTCHs to acute-care hospitals are not  
2 reported due to the interrupted stay policy, and the  
3 patient assessment information used in the risk adjustment  
4 was not collected by LTCHs when this analysis was  
5 conducted.

6           The rates of readmission during PAC stays gauge  
7 the quality of care furnished during the beneficiary's  
8 entire stay while rates of readmission during the 30 days  
9 after discharge detect premature discharges and gauge how  
10 well the provider managed the transition to the next  
11 setting or home. For each provider, observed rates were  
12 risk-adjusted using characteristics for each stay,  
13 including age, gender, comorbidities, functional status,  
14 and cognitive status. By law, CMS has developed measures  
15 that are similar to these, but they differ in two important  
16 ways: First, we use identical definitions of the during-  
17 stay measure for the three settings, and we use an  
18 identical risk adjustment model. Therefore, our rates are  
19 directly comparable.

20           This table shows the potentially avoidable and  
21 all-cause readmission rates for the during-stay and during  
22 the 30 days after discharge. We found that the risk-

1 adjusted rates of readmission during the stay varied  
2 considerably by setting, looking either at the potentially  
3 avoidable or the all-cause rates. On average, home health  
4 agencies had the highest during-stay rates and IRFs had the  
5 lowest rates.

6           The differences across settings reflect two  
7 factors:

8           First, stays vary considerably in length by  
9 setting, so the risk of readmission will vary simply as a  
10 function of how long the beneficiary was in the setting.  
11 For example, SNF stays are about twice as long as IRF  
12 stays.

13           Second, the settings differ in their  
14 infrastructure and that affects their readmission rates.  
15 For example, IRFs are licensed as hospitals and, with their  
16 staffing and physician presence, are more likely to detect  
17 and manage conditions that elsewhere could develop into a  
18 hospitalization. Home health agencies have less continuous  
19 monitoring of patients, and patient compliance with  
20 prescribed treatment may be more difficult. Until lengths  
21 of stay are more similar across settings, the differences  
22 in the during-stay measures could influence whether we

1 compare providers within a setting or compare providers  
2 across the three settings.

3           Turning to the rates of readmission during the 30  
4 days after discharge, the rates were more similar across  
5 the three settings, indicating that once beneficiaries are  
6 not under the care of a provider, they are exposed to  
7 similar risk of readmission. SNFs had the highest rates  
8 and the IRF and LTCH rates were pretty similar.

9           Looking across all providers in the three  
10 settings, the readmission rates varied widely. Across the  
11 during-stay rates, there was almost a four-fold difference  
12 in the potentially avoidable rates comparing providers at  
13 the 90th percentile and the 10th percentiles. The all-  
14 cause rates varied less, but still almost three-fold.

15           The rates of readmission during the 30 days after  
16 discharge were a little more variable. Rates at the 90th  
17 percentile were almost six times those at the 10th  
18 percentile for the potentially avoidable measure and almost  
19 three-fold for the all-cause measure.

20           The Commission could use the uniform PAC  
21 readmission rates in two ways. In its annual assessment of  
22 the adequacy of payments, we could include these

1 readmission rates when considering the quality of care.  
2 Also, over the coming year we plan to develop ideas for a  
3 PAC VBP. The readmission measures could be included in a  
4 composite score for VBP, either under current setting-  
5 specific payment systems or under a PAC PPS.

6           Now we turn to a provider-level measure of  
7 resource use: Medicare spending per beneficiary. The  
8 MSPB-PAC is a provider-level measure that captures Medicare  
9 spending during the initial PAC stay and the next 30 days.  
10 Low MSPB is considered desirable. To keep its value low, a  
11 provider has an incentive to furnish high-quality care to  
12 avoid unnecessary hospital use, make referrals to the  
13 necessary level and amount of subsequent care, ensure safe  
14 transitions, and discharge beneficiaries to providers that  
15 have low readmission rates. Under a MSPB-PAC measure, each  
16 PAC stay triggers an episode. By including the spending  
17 during the next 30 days after discharge, the incentives of  
18 PAC providers are aligned for those beneficiaries who are  
19 discharged to a second PAC provider within 30 days.

20           And here's a chart that tries to illustrate that.  
21 In this example, we have a beneficiary whose post-acute  
22 care begins in an IRF stay and then is discharged to home

1 health care. Each stay triggers its own episode, and those  
2 are in green. By including the 30 days after discharge --  
3 and those are in yellow -- the spending amount for Stay 1  
4 includes the spending for Stay 2. The second PAC stay will  
5 trigger its own PAC episode. Because the spending periods  
6 for the two stays overlap, the providers have a common  
7 interest to keep spending low, such as avoiding hospital  
8 readmissions.

9           Before we focus on the results, let me explain  
10 how this measure is derived. Spending was summed for A  
11 and B spending and then standardized for differences in  
12 wages across the country and for add-on payments and then  
13 were risk-adjusted. Because total spending will vary  
14 considerably due to setting differences for the initial PAC  
15 stay, we compared a provider's average spending to its  
16 setting average. Otherwise, home health agencies would be  
17 more likely to have good performance given the initial  
18 stay's relatively low spending.

19           This table shows the MSPB-PAC values by setting.  
20 The average value for each setting centered on 1. Values  
21 below 1 indicate below-average spending, or better  
22 performance, and values greater than 1 are above average,

1 or worse performance. In the top row, you see that the  
2 values varied between 0.76 at the 10th percentile and 1.28  
3 at the 90th percentile. There was more variation across  
4 SNFs than in the other settings, and the comparison between  
5 the 90th and the 10th percentile were about 1.8-fold. And  
6 by comparison, the IRF and LTCH variation was 1.3-fold.

7           To get behind these numbers a little bit, we  
8 looked at the spending for providers with better and worse  
9 performance relative to their setting average. Providers  
10 with low values -- those in the 25th percentile, with  
11 better performance -- had average spending that was 20  
12 percent lower than spending for providers with high values.  
13 The spending for the initial PAC stays were not that  
14 different. They varied about 7 percent. The biggest  
15 difference was in "other PAC" in red, which was 34 percent  
16 lower for providers with low MSPB values. Spending for  
17 hospital and other were each about 29 percent lower, and  
18 "other" here includes dialysis, outpatient, ambulance,  
19 hospital, and Part B drugs. And I guess DME would be in  
20 there. Providers in the highest quartile -- that's the  
21 worst performance -- were disproportionately freestanding  
22 and for-profit while providers in the lowest quartile -- or



1 better performance -- were disproportionately nonprofit and  
2 hospital-based.

3           In any given market, there will be providers with  
4 a range of MSPB values, and we expect markets to vary in  
5 their mix of low and high MSPB values. After ranking  
6 providers in each setting nationally by their MSPB values,  
7 we examined the rankings of home health agencies and SNFs  
8 in two markets to illustrate the variation. On the left  
9 you see Phoenix, and 45 percent of SNFs had low MSPB values  
10 -- that is, they were in the bottom or "best" quartile of  
11 the national distribution of SNF values, and those are  
12 shown in green. And only one SNF was in the top -- or the  
13 worst -- quartile, and that's shown in red. And the next  
14 bar over you see the mix of home health agencies: over  
15 one-third of the 76 home health agencies were in the best  
16 quartile nationwide and only 5 agencies were in the worst  
17 quartile. By comparison, in Orlando, only one of the 66  
18 SNFs was in the best quartile nationwide, and almost half  
19 were in the worst quartile nationwide. Similarly, 45  
20 percent of home health agencies in Orlando were in the  
21 worst performance quartile nationwide. This example  
22 illustrates that opportunities for improvement will vary

1 considerably by market.

2           Before we use the measures to evaluate provider  
3 performance, we need to have confidence that the measures  
4 are accurate and can discriminate between providers, and  
5 here I'm using a couple of terms specifically. Accuracy  
6 refers to whether the value reported for a provider is a  
7 fair representation of its "true" performance. A different  
8 attribute of a measure is its reliability, and as CMS uses  
9 this term, it's referring to how well the measure can  
10 distinguish one provider from another. This term has  
11 various definitions, but we're using the term the way CMS  
12 uses it. Measures that are reliable are able to  
13 distinguish one provider's performance from another, and an  
14 unreliable measure cannot. Because reliability and  
15 accuracy capture different dimensions of measures, a  
16 measure that is accurate is not necessarily reliable and  
17 vice versa. Measures are more likely to be inaccurate and  
18 unreliable for small, low-volume providers, and both  
19 dimensions increase with more observations.

20           Setting minimum observation counts will always  
21 involve judgment about our willingness to tolerate errors.  
22 We want a minimum count that is high enough to have

1 accurate and reliable measures yet low enough to calculate  
2 measures for as many providers as possible. When a measure  
3 is not accurate, we risk concluding that a provider's  
4 performance was "good" or "poor" when it may not have been,  
5 actually. It's also more likely that performance,  
6 especially of small providers, will vary from year to year.  
7 The paper includes an analysis of each, using the MSPB  
8 measure as an example.

9           There will always be a tradeoff between having  
10 accurate, reliable measures and wanting to calculate  
11 performance measures for as many measures as possible. One  
12 way to help ensure that measures are reliable and accurate  
13 would be to first evaluate whether the measure displays  
14 enough variation across providers to be able to distinguish  
15 them. Once a measure has been evaluated as having enough  
16 variation for further development, CMS could calculate the  
17 minimum observation counts for a measure to be not only  
18 reliable but accurate. These minimums are likely to be  
19 different depending on the measure.

20           Since measures get more reliable and accurate  
21 with larger observation counts, CMS could consider pooling  
22 data for small providers. This could be done in a couple

1 of ways: Data over multiple years could be pooled, and the  
2 paper shows an example of how much smaller the standard  
3 errors are when you get a second year of data.

4           Alternatively, PAC providers could pool their  
5 observations. For example, providers in the same market,  
6 health system, or company could be combined and measured as  
7 a group.

8           Over the coming year, staff plan to explore other  
9 outcome measures. With a preference for claims-based  
10 measures, other outcome measures could include discharge to  
11 the community or a combined measure of preventable  
12 admissions and readmissions. The combined admission-  
13 readmission measure would be a more complete picture of  
14 hospital use because it would include hospitalizations for  
15 beneficiaries who are admitted from the community, which  
16 make up two-thirds of home health stays. We could also  
17 develop a risk-adjusted count of the number of days between  
18 when a beneficiary leaves her home and returns after a  
19 hospitalization and/or a PAC use. To gauge patient  
20 experience, staff could explore an instrument to be used by  
21 all PAC providers.

22           We'd like to hear your thoughts about strategies

1 to increase the accuracy of measures, either by pooling  
2 data across years or across providers. And we'd like to  
3 get your reactions to possible other measures to explore.

4 And with that, I look forward to your discussion.

5 DR. CROSSON: Thank you, Carol. Very clear, as  
6 usual.

7 We're open for questions. Questions for Carol?  
8 David, Brian.

9 DR. GRABOWSKI: In terms of patient satisfaction,  
10 we're going to talk later about the CAHPS data, and there  
11 is a nursing home CAHPS. Has there ever been any thought  
12 about trying to extend that to the post-acute care setting?  
13 I'm just not aware of that, and how well does nursing home  
14 CAHPS capture post-acute versus long stay?

15 DR. CARTER: CMS has developed that measure, but  
16 they haven't -- there are a couple of different versions of  
17 that, so one I think is focused on short stay and one's on  
18 long stay. That would be one idea we would like to look  
19 at. I think the home health also has a patient experience  
20 survey, and so we could start to see what are the common  
21 elements across those.

22 So I think -- there's certainly nothing right off

1 the shelf to use, but it is an area for exploration.

2 DR. CROSSON: Brian, Sue.

3 DR. DeBUSK: Thank you for a great report. I  
4 really enjoyed the way the measures are being calculated.  
5 I think you're definitely on the right track.

6 I had a question, though, on page 33 of the  
7 mailing materials. You talk a little bit about the risk  
8 adjustment that's done for the readmissions, and you talk  
9 about the comorbidity index. First of all, I'd be curious  
10 to see how portable and durable that -- is that something  
11 that we see repeatedly, this particular comorbidity index  
12 used in other risk adjustments, or is this something  
13 developed specific to the readmission adjustment that we're  
14 doing here?

15 And then also on the following page, 34, it looks  
16 like the MSPB PAC is risk-adjusted similarly, but at least  
17 materially differently. Could you speak to the opportunity  
18 to maybe standardize the risk adjustment throughout the PAC  
19 PPS? Is that even possible? Am I being naive?

20 DR. CARTER: No, I don't think that's a naive  
21 question. I think it's reasonable. The comorbidity index  
22 that is in the readmission measure is something that was

1 developed for this project, but it's not unique. I mean,  
2 you see comorbidity indexes in other measures, and I could  
3 send you an article or two that has a different measure.

4           The MSPB, we were trying to build off of what CMS  
5 does, and so this is their version. We just wanted to  
6 standardize it across the settings, and with a couple of  
7 tweaks. But it isn't -- like in terms of the clinical  
8 comorbidities, it's not an extensive, although we did test  
9 this using DRGs, and it wasn't significantly different, so  
10 we sort of pared it back to what we started with, which was  
11 sort of more or less what CMS had done. But it's a  
12 reasonable ask, I would say.

13           DR. DeBUSK: So it is feasible to develop a  
14 standardized risk adjustment?

15           DR. CARTER: Well, they're certainly the same  
16 factors, right, and captured maybe in the same ways.

17           DR. DeBUSK: Yeah. Different coefficients --

18           DR. CARTER: Right, but --

19           DR. DeBUSK: -- but at least the same --

20           DR. CARTER: Well, I mean, I could imagine by  
21 measure. So, for example, I think in the 30-day measure,  
22 we have the post 30. We have one more variable in there

1 than on the -- so you would think that there would always  
2 be some differences in what you're trying to capture.

3           So what I meant was I think it might be -- you  
4 could probably have more standard ways of capturing  
5 comorbidities. If you thought comorbidities was important,  
6 let's use the same technique for doing that, but the things  
7 you might include in the model, I would think would vary.

8           DR. DeBUSK: I've read in previous materials that  
9 you refer to the measurement of disease burden, and I was  
10 just wondering if the comorbidity index and the disease  
11 burden, are those two --

12           DR. CARTER: Yes. Yeah. I mean, what we're  
13 looking for is sort of what did you bring before you even  
14 got admitted. What's the picture of the patient before the  
15 incident started?

16           DR. DeBUSK: Are those the same calculations?  
17 When I read "disease burden" in other mailing materials,  
18 can I assume that's the comorbidity?

19           DR. CARTER: Well, that's not a term I use.

20           [Laughter.]

21           DR. CARTER: But I would think so, yes, because I  
22 think it is sort of what a patient is bringing to their



1 health care encounter.

2 DR. DeBUSK: Thank you.

3 DR. CROSSON: David.

4 DR. NERENZ: Just on that point, I think it's  
5 sort of yes and no on that because some of the comorbidity  
6 or simply constant comorbidities, and that's something you  
7 can do from claims data. But also, there are different  
8 ones that add a severity factor, and so you get additional  
9 points for having a severe level of a comorbidity.

10 And the second one is probably a little more  
11 faithful to the concept of disease burden than the first,  
12 although you might use the term, so it's just tricky  
13 business when you get into it.

14 DR. CROSSON: Okay. I'm sorry I've lost track  
15 now. Dana, Warner. I see somebody else. No? Oh, Sue.  
16 Sorry, sorry. Sue. I'm sorry. Dana, Sue, Warner.

17 DR. SAFRAN: My question was if you could put  
18 back Slide 6, and you touched on this quickly, fleetingly.  
19 I just wanted to bring you back to it and understand.

20 So it's so striking that after discharge, the  
21 rates are so similar across these settings, and that before  
22 discharge that the IRFs are looking so much stronger.

1           And you said something about access to physicians  
2 and the difference that makes, but can you just elaborate  
3 on what your hypotheses are about what's happening after  
4 discharge that's so different, or what are they doing so  
5 well while somebody is in the IRF that others maybe could  
6 learn from?

7           DR. CARTER: Okay. Well, first of all, IRFs are  
8 licensed as hospitals, and so they have physician presence  
9 and staffing that is consistent with being licensed as a  
10 hospital. And so just that factor is going to make them  
11 different. They're already hospitals, so you would expect  
12 their readmission rates to be low.

13           Second, beneficiaries spend about half the amount  
14 of time in an IRF than in a SNF. Even though readmissions  
15 are a little bit front-loaded to the early part of the  
16 stay, you do see readmissions that occur later in stay. So  
17 the fact that patients are in IRFs just a shorter period of  
18 time would also contribute to their lower rates.

19           I mean, for home health, given that you don't --  
20 home health care is part-time and intermittent care.  
21 Somebody is not there every day, and they're not there all  
22 day long. And so just the patient monitoring and ensuring

1 patient compliance with treatment is just very different,  
2 and so you would think just the infrastructure and kind of  
3 what's happening in each of those settings would be  
4 different. It would result in different during stay rates.

5           Now, the after, the post 30-day, I think they're  
6 so similar because basically once you're not under  
7 anybody's care and you don't have eyes and ears on the  
8 patient, you've kind of got the same ambient risk, and  
9 that's I think what you're seeing here.

10           DR. SAFRAN: Okay. I mean, to me, what you just  
11 described about the IRF would make me think that the post-  
12 discharge readmission might be higher for that population  
13 because they're having a shorter stay, and so that's your -  
14 - you're sort of using an exposure hypothesis to explain  
15 why they have fewer readmissions or just less time while  
16 they're there. But that might suggest that once they're  
17 discharged, they would be more likely to wind up with a  
18 readmission.

19           So I think this is actually probably pretty rich  
20 information for us to learn from and see if there are some  
21 best practices in some of these settings that might  
22 actually help make the top lines look better.

1 DR. CROSSON: Sue.

2 MS. THOMPSON: And as a segue, I would agree. I  
3 think there is something really rich.

4 In the ACO experience, what we've observed is  
5 where we have a real opportunity to reduce total cost of  
6 care is in reducing utilization of post-acute care, and  
7 there seems -- I hear as we're -- as we're looking to  
8 really take a hard look at readmissions, an opportunity to  
9 take a look at hospitals that are appropriately using the  
10 home care sooner, in place of post-acute facility care.  
11 And I think there's a piece here that we need to really  
12 grapple with that has to do with reducing the overall total  
13 cost of care because we're monitoring readmissions. We're  
14 monitoring the quality. So I do think there's something  
15 inherent in that piece.

16 So your comment, please.

17 DR. CARTER: What you're talking about is, I  
18 think, consistent with the 30 results of the BPCI, where  
19 they're seeing comparable -- the fact that bene is using  
20 PAC hasn't changed that much, but what they're using is  
21 different and how much they're using. They're moving more  
22 patients into home health care when they can, and the SNF

1 days are shorter.

2 MS. THOMPSON: So what you're thinking, if any,  
3 about, including a measure here to capture that because I  
4 think this is a piece we'd like to stay ahead of. Do you  
5 have a thought about that?

6 DR. CARTER: So what would be the measure?

7 MS. THOMPSON: I don't know. That's what I'm  
8 asking you.

9 [Laughter.]

10 DR. CARTER: Oh. Well, I could imagine for a  
11 market or a population-based measure, you might look at  
12 incidence of PAC use for a market, right? But for a  
13 provider, they only know what hit their door. But I could  
14 imagine for having a population at risk or for a  
15 marketplace, that would be a good measure.

16 MS. THOMPSON: One more question. Are you  
17 thinking about the readmission measures as a composite or  
18 as separate measures?

19 DR. CARTER: I would be interested in your  
20 conversation. I do think they capture different dimensions  
21 of the care. One is sort of within your purview, and the  
22 other is how well you're doing in transferring a patient

1 onward. And so I do see them as different, so I could  
2 imagine them both being in a measure. But I'd be  
3 interested in your thoughts.

4 DR. CROSSON: Okay. Warner.

5 MR. THOMAS: Just a quick question, and I may  
6 have missed this. But just refresh my memory on -- so I've  
7 seen in the acute care area, we have penalties around  
8 readmission that Medicare applies. How is that applied or  
9 not applied in a post-acute world?

10 DR. CARTER: So right now, it's not. In the SNF  
11 space, starting in October of this year, there's going to  
12 be a VBP that includes one measure, and it's readmissions.

13 The home health has a demonstration VBP, and it's  
14 in, I think, nine states, and it includes 24 measures or  
15 something like that. And there isn't anything in the other  
16 two settings.

17 MR. THOMAS: And I know this is focused on  
18 quality, but once again, we're looking at quality as --  
19 readmission as a function of quality. So is the thinking  
20 that these would just be bundled measures, or is this  
21 leading to potential payment implications either as part of  
22 this recommendation or down the road?

1 DR. CARTER: I'm thinking that it will be part of  
2 a value-based purchasing with a withhold and then payouts  
3 based on their performance.

4 MR. THOMAS: All right. Thank you.

5 DR. CROSSON: Okay. Seeing no further questions,  
6 we'll proceed with the discussion. Could you put up the  
7 last slide?

8 I'd just make the point that the last slide  
9 included some discussion points, but actually, I think the  
10 whole presentation needs commentary, including the measures  
11 that Carol has proposed in more detail.

12 David, start off.

13 DR. GRABOWSKI: Great. Thanks.

14 Carol, thank you for a great chapter, as always.

15 If we're going to move towards uniform site-  
16 neutral payment, then we're going to need site-neutral  
17 uniform quality outcomes, and so this is a really important  
18 chapter.

19 I'll start with the readmission measures. I'm  
20 supportive of both of those measures. I have been studying  
21 post-acute care a long time. I had never seen data like  
22 that, to be honest, where it was risk adjusted and uniform

1 across the setting, so that was really interesting. And I  
2 think some of the points Dana was pushing you on are really  
3 important here. Why are we seeing such big differences,  
4 and then why does it look relatively uniform at time of  
5 discharge? I think we want to unpack that, and I think  
6 that will be a nice part of our ongoing agenda. But I  
7 think we're on the right path with the readmission  
8 measures.

9           The second measure or set of measures you  
10 presented was really around Medicare spending per  
11 beneficiary, and I always have trouble with this as a  
12 performance measure, given it's a resource-based measure.  
13 I know it's used elsewhere in the Medicare program and in  
14 different payment systems.

15           What I struggle with in this context is really  
16 how to interpret it in that if we're anchoring on a fixed  
17 payment for post-acute care across settings, we're kind of  
18 leveling the post-acute care. And you showed on Slide 12,  
19 some of the variation and what's kind of driving sort of  
20 differences across high- and low-payment settings.

21           And a lot of that difference, once you controlled  
22 for the anchors or the initial index, post-acute stay and



1 then the subsequent stays, if that's all controlled for in  
2 the payment system and leveled, then it's really  
3 readmissions that's driving the variations. Well, we  
4 already have a --

5 DR. CARTER: Right. Another PAC.

6 DR. GRABOWSKI: Another. And is other PAC paid  
7 for in an episode, or is it paid for kind of -- is that all  
8 paid together in the system, or do we pay the sequential  
9 stays differently? And that's kind of an --

10 DR. CARTER: Yeah.

11 DR. GRABOWSKI: -- open question. But if we pay  
12 them all together, then that other PAC is no longer on the  
13 table and part of the variation. Its' really the  
14 readmissions.

15 And so I guess I'm pushing a little bit on --  
16 does Medicare spending per beneficiary add new information  
17 here and alongside the readmission measure? And so that  
18 will be something I think we'll want to --

19 DR. CARTER: For double counting?

20 DR. GRABOWSKI: Yes. Is this a unique measure?  
21 If we're anchoring on all the post-acute care in the  
22 payment and then also having a readmission measure

1 separately, do we need this? Do we need a belt with the  
2 suspenders? So that's something I think we'll want to  
3 consider.

4           In terms of these other potential measures that  
5 you were considering, I feel strongly that we should have a  
6 patient satisfaction measure there. I do think the CAHPS  
7 could give us a good start here. I know it has some  
8 problems, but I do like that it's being collected, and  
9 maybe there's ways to modify it and make it uniform such  
10 that we could use that information.

11           We've talked a lot at recent meetings around  
12 community discharge-based measures. I think that would be  
13 really important here. Sometimes I struggle with is that  
14 just the inverse of the readmission measure and is it also  
15 giving us new information, but I think there might be  
16 something there.

17           And the final point I'll make is that we've  
18 invested a lot in making the assessment instruments, the  
19 OASIS, the MDS, the FIM in inpatient rehab, uniform in  
20 terms of how they measure functioning. That was a huge  
21 effort to just get those kind of similar, and that's still  
22 ongoing.

1           Do we want to use that information here in terms  
2 of what is functioning at admission into post-acute care,  
3 what is it at discharge? How does that look across  
4 settings?

5           Problem one is getting consistency in the  
6 measures, of course. Problem two is that it's self-  
7 reported, and do we want to trust that here? That's an  
8 open question, but I do think given the investment we've  
9 made and given it's used in a lot other ways for payment,  
10 at least currently, do we want to think about leveraging it  
11 here in terms of quality outcome.

12           I'll stop on that point. Once again, this is  
13 great work, and I'm excited to see it move forward.  
14 Thanks.

15           DR. CROSSON: Thank you, David.

16           Other comments? Alice, Jack, Dana, David.

17           DR. COOMBS: Yeah. Carol, thank you so much for  
18 this chapter. I think it's a long time coming, and it  
19 looks like we're getting to a better place.

20           One of the issues regarding -- the question that  
21 Dana asked on that Slide 6 actually has to do with the 30-  
22 day-after-discharge issues. I think that in IRFs, it's

1 kind of different. It's not apples to apples. It really  
2 is different in that the IRF requires that you have a  
3 patient, regulatory requirements, of three hours of rehab.  
4 And so for that in and of itself, it's going to say you can  
5 pool the patients, but they're not exactly the same. And  
6 so the fact that it's a shorter period is one piece of it,  
7 but the other piece of it is that it's not the same type of  
8 patients on the bus. So that these patients have to be  
9 able to undergo three hours of rehab a day, and that in and  
10 of itself precludes. So you kind of self-select for a  
11 different type of patient in that situation.

12           And then it leads me to the next point, which is  
13 on that slide, pooling data across providers. So we have  
14 to be sensitive to that if we're going to pool data with  
15 this group of IRF patients looking slightly different than  
16 the others.

17           Now, we have tried our best to kind of put all  
18 the patients on the same scale for resource utilization,  
19 but I think, still, we have to tease out this other group  
20 of more well patients at the IRFs, and that's not exactly  
21 the same as what we intended.

22           They're not Chinese patients that are more

1 predominant at the other place, but it's just that this  
2 place may have very different type of patients going into  
3 the IRFs. So I think that may be a challenge for us for  
4 pooling data cross providers, whereas pooling data across  
5 the years are great.

6           And I like what you did with using the averages  
7 for home health and saying let's go from the average and  
8 standardizing on a scale because I think that's very fair  
9 in terms of comparing the four. If I was in the industry,  
10 I would think that that would be something that would be a  
11 great equalizer.

12           DR. CROSSON: Okay. Jack.

13           DR. HOADLEY: So I have two things I wanted to  
14 talk about, both of which are not sort of fully formed into  
15 my mind, so I hope I can be clear about it.

16           One is trying to think about -- and it sort of  
17 triggers off some of these earlier questions about whether  
18 we should be thinking about a time period relative to the  
19 hospital discharge as opposed to the discharge from the PAC  
20 provider, so part of this issue is sort of the shorter,  
21 more intense day in the IRF. So if you're in fact  
22 discharging them at a sicker level in some sense or a less

1 restored level and then they go home, maybe do home health,  
2 whatever, have a second stay, versus somebody who is having  
3 a more extended course of treatment just in home health,  
4 would it help to measure 90 days post hospital to get a  
5 different kind of uniformity? I'm wondering if that's  
6 something worth trying and whether it would help to get  
7 some things.

8           The other thought I had, which is how this looks  
9 across different diagnosis categories. Again, I'm not a  
10 clinician, so I start to struggle when I try to think about  
11 this all the way through, but clearly, there's a different  
12 kind of pathway for an orthopedic case, a neurological  
13 case, an infection case. First of all, obviously you could  
14 test these measures in subcategories of diseases, so that's  
15 a straightforward thing to do to test and see if there are  
16 differences, but if there are, then should we be looking at  
17 these within at least some kind of global category of the  
18 initial problem that started this whole path of care? Do  
19 we expect that somebody -- if it's an ortho case, is that  
20 less likely to lead to the kinds of things that could  
21 trigger readmissions or could trigger higher level of  
22 spending per stay compared to a neurological case or an

1 infection case or whatever else? And if so, at some level,  
2 if you're comparing providers that all have a mix of those  
3 patients and you do risk adjustment and you do some of  
4 that, how much of that is already covered by the risk  
5 adjustment, but should we be just looking at whether there  
6 are different patterns in some of those kind of global  
7 categories?

8           It seemed like those are two different dimensions  
9 where some experimenting with some different variations on  
10 these measures could have some value, either to help  
11 reinforce why the original one works well, where its flaws  
12 are, or that they might provide some improvement.

13           So I hope that's clear. That's what I've been  
14 thinking about.

15           DR. CROSSON: Thank you, Jack.

16           Dana.

17           DR. SAFRAN: Yeah. So I'll add my thanks for  
18 great work here.

19           I would make a couple of comments. On this  
20 matter of whether to pool across years or pool across  
21 providers, one of the challenges of pooling across years is  
22 that it holds providers back in terms of showing

1 performance improvement. So while I like it better than  
2 pooling across providers for something like this, where I  
3 think we're on a path to try to create provider  
4 accountability, so how you do that if you're pooling across  
5 providers, I'm not sure.

6           But I wondered, as I was sitting here, whether  
7 you could do something that we've never actually done in  
8 our work because we've avoided pooling across years, but  
9 maybe you could do it. Maybe you could do it and weight  
10 the years differently, so that you -- you know, 75 percent  
11 weight is on the current measure and if -- current year,  
12 and if that doesn't blow your standard errors up, then you  
13 could still preserve your good reliability that you're  
14 getting out of that. So that's just an idea there.

15           But if you couldn't do something like that, then  
16 I'd be -- it's a hard call whether to just not measure  
17 versus measure in a way that really prevents people from  
18 being able to demonstrate their improvement, so is it so  
19 demotivating that you're measuring, but they're not really  
20 working on it. So that would be my thoughts there.

21           And then on the possible measures to develop, I  
22 agree with David that doing a CAHPS-like measure is a



1 really high priority here. You'll have to grapple with the  
2 question of whether proxies would be okay or not okay, and  
3 so we'll leave that for another day to discuss.

4           Separating patient experience from patient-  
5 reported outcomes, meaning health status, I think that's  
6 the measure I see missing from here that I think is most  
7 important.

8           So if we could get measures of functional status,  
9 basically on the start of the admission and at discharge,  
10 and really start to understand how these different  
11 organizations are able to improve the functional status of  
12 the patients they're taking care of and which kinds of  
13 patients benefit most in terms of improvement in  
14 functioning or reductions in pain or improvements of  
15 cognitive well-being, any of those elements that can get  
16 captured by good PROMs measures, I think that would just be  
17 enormously valuable.

18           And then the last comment I'd make is that some  
19 of the other measures get tricky if they aren't paired with  
20 something like the measure I was just talking about. So  
21 discharge to the community, you could imagine the  
22 unintended consequences of rushing to get people discharged

1 to the community and then unless you've paired that with a  
2 readmission measure, you're kind of looking good on that  
3 measure but doing poorly by the patient, et cetera. So  
4 there's something around successful discharge to the  
5 community, whatever success means, that I think might be  
6 interesting to explore.

7           Those are my thoughts.

8           DR. CROSSON: Thank you, Dana. David.

9           DR. NERENZ: Thanks. I was basically going to  
10 make the same points Dana just did, quite eloquently, so  
11 I'll just second those. But just a couple of additional  
12 elements of spin on it. I do have concerns about both the  
13 pooling approaches, and I've made similar comments on other  
14 topics in the past.

15           You know, when you pull data across years you get  
16 additional confidence about the way things have been but  
17 you don't have additional confidence about how things are  
18 now, if there's improvement going on. And the same thing  
19 with providers. With pooling you have additional  
20 confidence about what a group is doing but it doesn't give  
21 you any more confidence about any one.

22           The only thing I'd add, then, is I like the idea

1 of potentially weighting the more recent. I wonder, also,  
2 I'm not an expert on this, but I wonder if in the world of  
3 Bayesian methods there might be some possibilities, where,  
4 for example, you might bring a prior probability into the  
5 measure of any one entity in any one year, say, perhaps  
6 given where this entity has been, you know, the prior  
7 probability is this, and then you sort of adjust that based  
8 on the current observation. And, you know, maybe that's  
9 just a fancy way of pooling and you're right back where you  
10 started from.

11           But I think there may be some kind of exotic ways  
12 of getting at this, just because I don't -- I'm not  
13 confident that pooling gets us where we want to go. I  
14 think we basically want to know how is a given entity doing  
15 now.

16           DR. CROSSON: Thank you. David and, seeing no --  
17 Warner.

18           MR. THOMAS: Yeah. My comment would be on the  
19 readmission. I would not tie the acute and post-acute  
20 readmission together. I mean, I think part of that is that  
21 -- I think depending upon what the post-acute readmission  
22 rates are, they're going to either be referred to or not,

1 based upon their performance, is my guess, going forward.  
2 And I think it is -- you know, unless you want to just  
3 create more integration, which that may force more  
4 integration if you go to that measure, but -- and you tie  
5 them together. So that would just be, you know, part of my  
6 thinking on that.

7           I do think the concept of, if we're going to go  
8 down this road, I think, you know, tying it to  
9 reimbursement sooner than later would be important, because  
10 that's obviously where you're going to see the biggest  
11 change. And, yeah, I think to have this portion of the  
12 delivery system not having this type of information tied to  
13 reimbursement just doesn't make a lot of sense. So I would  
14 just encourage us to push that faster.

15           DR. CROSSON: Thanks. I'd just make one comment  
16 myself, having to do with the pooling across providers. I  
17 mean, as David pointed out we have talked about that in  
18 another setting, in terms of pooling within the physician  
19 provider community. I think this is a little bit of a  
20 different idea. I mean, I think both in terms of the  
21 physician part of that idea, there is both a reality, in a  
22 sense that, you know, for many patients with chronic

1 illnesses, including a lot of Medicare patients, a lot of  
2 different physicians take care of those individuals. Plus  
3 I think, you know, over the years we have promoted the  
4 notion of coordination of care among providers, right,  
5 among physician providers, particularly -- not solely but  
6 particularly.

7           But I think here, in this setting -- and I might  
8 feel differently if you're talking about entities that are  
9 owned by one organization. But I think -- at least I don't  
10 think of post-acute care as something that is coordinated -  
11 - you know, except for transfers -- is coordinated among  
12 different entities within a community. Now I could be  
13 wrong about that. But I think that it feels a little bit  
14 of a lot like a different idea to me.

15           Okay. Seeing no further discussion, thank you,  
16 Carol. I think you've gotten a lot of good input. And we  
17 will proceed with the next presentation.

18           [Pause.]

19           DR. CROSSON: Okay. Let's move on with the next  
20 presentation. We're going to be taking a look again at the  
21 question of how we measure quality in a hospital setting,  
22 and Ledia and Jeff are going to take us through some, I

1 think, interesting in many ways, novel ideas.

2 \* MS. TABOR: Good afternoon. During last month's  
3 meeting we reviewed the Commission's principles for  
4 measuring quality in the Medicare program and applying  
5 those principles to the development of population-based  
6 outcome measures. We are now going to continue discussions  
7 that apply those principles to hospital quality incentives.  
8 All three topics are included in your mailing materials but  
9 today we will focus on hospital quality incentives.

10 In October, we began discussions about MedPAC's  
11 design of a new Hospital Value Incentive Program, or HVIP.  
12 The HVIP is simpler than the current programs, focuses on  
13 outcomes and promotes the coordination of care, and overall  
14 aligns with the Commission's principles for quality  
15 measurement.

16 Last October we reviewed the concerns raised by  
17 past Commissioners and stakeholders about the current  
18 hospital quality payment programs. I'll briefly review  
19 those today. I'll then review the design of the new HVIP  
20 which incorporates feedback from the October discussion.  
21 Then I'll present analysis using current hospital quality  
22 data to model the HVIP design for the Commission's

1 discussion today.

2           In the past, the Commission has expressed four  
3 main concerns about the design of the current hospital  
4 quality programs. First, there are too many, overlapping  
5 hospital quality payment and reporting programs, which  
6 creates unneeded complexity in the Medicare program and for  
7 hospitals.

8           Second, all-condition mortality and readmission  
9 measures are more appropriate to measure the overall  
10 performance of hospitals, rather than the condition-  
11 specific measures that are currently used in the programs.  
12 I'll discuss this more in a moment.

13           Third, some of the programs include process  
14 measures and provider-reported measures that may be  
15 inconsistently reported, such as hospital-acquired  
16 infections.

17           Fourth, the programs score hospitals using  
18 "tournament models," meaning hospitals are scored relative  
19 to one another and not on clear, absolute, and  
20 prospectively set performance targets.

21           For simplicity, hospitals should have their  
22 payment adjusted based on one HVIP program as opposed to

1 separate programs. As illustrated on the left-hand side of  
2 the slide, an option is to combine the current HRRP and VBP  
3 into one program, and to eliminate the IQRP which is an  
4 obsolete pay-for-reporting program.

5           We also suggest eliminating the hospital-acquired  
6 condition reduction program which ties payment to infection  
7 rates, because of concerns about the accuracy of hospital-  
8 reported data. However, as discussed by the Commission in  
9 October, it will be important that hospitals continue to be  
10 required to report infection rates to the CDC, and for the  
11 Secretary to continue to monitor opportunities for  
12 improvement and publicly reporting patient safety results.

13           Looking at the right-hand side of the slide, we  
14 would incorporate four existing, all condition quality  
15 measures into the HVIP: readmissions, mortality, spending,  
16 and overall patient experience. Providers may choose to  
17 use other granular measures, such as other patient  
18 experience components, to manage their own quality  
19 improvement.

20           Per the Commission's principles, the HVIP would  
21 translate quality measure performance to payment using  
22 clear performance standards that account for differences in



1 provider populations through peer grouping. In peer  
2 grouping, each provider is only being compared to its  
3 "peers," defined as providers that have a similar patient  
4 population.

5 Like the current VBP, the HVIP would redistribute  
6 a budgeted amount to hospitals based on their performance.  
7 We assume that Medicare would continue to publicly report  
8 results of the HVIP on a website like Hospital Compare.

9 The movement to all-condition measures will  
10 improve accuracy of quality measurement and balance  
11 incentives across the four measures. With respect to  
12 accuracy, CMS currently uses condition-specific readmission  
13 and mortality measures with as few as 25 cases involved.

14 To limit the influence of random variation on  
15 hospital scores, CMS shrinks each providers performance  
16 toward the mean. The net result is that small providers,  
17 especially those that are not close to the current cutoffs,  
18 have a limited incentive to improve because the score CMS  
19 gives them will be close to national mean due to the  
20 shrinking factor.

21 In contrast, with the all-condition models, 92  
22 percent of hospitals will have over 1,000 observations over

1 three years. Random variation is less of a problem with  
2 1,000 observations than with 25 condition-specific  
3 observations. Also, with 1,000 observations, shrinking  
4 toward the mean is not necessary and incentives for small  
5 providers to improve quality are increased.

6           We will also have greater balance across  
7 incentive measures. With respect to readmissions, the  
8 current system only affects six conditions, but has large  
9 penalties per excess readmission. In contrast, the HVIP  
10 would have an incentive to reduce readmissions across all  
11 conditions, but would have a smaller penalty per excess  
12 readmission.

13           Similarly, the HVIP mortality incentive would  
14 apply to all conditions rather than a limited set of  
15 conditions. In addition, the relative weight placed on  
16 readmission and mortality rates could be set to equal. In  
17 contrast, the current system weights readmissions more  
18 heavily than mortality.

19           I'll now review the scoring methodology we used  
20 to model the HVIP, starting with how measure performance is  
21 converted to HVIP points.

22           We treat each of the four measures as an equally

1 weighted, separate domain worth 10 points for a total of 40  
2 possible HVIP points.

3           In early discussions about the HVIP, the  
4 Commission commented that hospitals should be able to earn  
5 a continuous scale of points for each of the measures, so  
6 that most hospitals have the opportunity to earn points for  
7 their performance on each of the measures and that scale  
8 should be set so that even top performing hospitals have  
9 room to improve. This offers a greater balance between  
10 poor and top performer incentives to improve than the  
11 current hospital programs.

12           Therefore, for each measure we created a  
13 continuous performance to points scale based on the 2nd  
14 percentile of performance, worth no points, to the 98th  
15 percentile of performance, worth 10 points. Per the  
16 Commission's principles, hospitals will know in advance  
17 what performance targets they need to reach to achieve a  
18 certain amount of points for each measure.

19           In our modeling exercise, we assigned each  
20 hospital a total number of points based on their  
21 performance against our continuous performance-to-points  
22 scale. An illustrative example of the continuous

1 performance-to-points scale, developed using current  
2 hospital quality data, is shown on this slide.

3           So moving across the table, if a hospital has a  
4 readmissions rate of 16 percent, they earn 4 points; risk-  
5 adjusted mortality rate of 7 percent, they earn 8 points;  
6 Medicare spending per beneficiary value of 0.95 which, is  
7 less than the average earns 6 points; and 73 percent of a  
8 sample of the hospital's patients rated the hospital a 9 or  
9 10 earns them 6 points. So this hospital receives a total  
10 of 24 out of 40 possible HVIP points.

11           The Commission believes that the Medicare program  
12 should use peer grouping to take into account differences  
13 in a provider's population social risk factors. Based on  
14 these principles, we modeled the HVIP where quality-based  
15 payments are distributed to hospitals within 10 peer  
16 groups. Each peer group has about the same number of  
17 hospitals and those hospitals have about the same share of  
18 Medicare beneficiaries that are fully dual-eligible.

19           Since the HVIP is designed to be budget neutral,  
20 each peer group will have an HVIP bonus pool based on a 2  
21 percent total base payment withhold from each of the  
22 hospitals in the peer group. This pool will be

1 redistributed to hospitals within the peer group based on  
2 their HVIP points.

3           We used a 2 percent withhold which is the same as  
4 current VBP program, but policymakers could raise or lower  
5 that withhold amount.

6           I'll walk through an example of converting HVIP  
7 points to payment adjustments within a peer grouping.

8           Let's assume there are two hospitals in a peer  
9 group that were assigned because of a similar share of  
10 fully dual-eligible beneficiaries. First, we convert each  
11 hospital's quality measure performance to total HVIP points  
12 based on the continuous performance-to-points scale  
13 described on a previous slide. As seen at the top of the  
14 table, Hospital 1 has higher total HVIP performance with 40  
15 points, compared to Hospital 2's 30 points.

16           We withhold 2 percent of each of the hospital's  
17 total base IPPS payments. Since Hospital 1 has less  
18 discharges, their 2 percent withhold is less than Hospital  
19 2's withhold. As shown in the middle of the table, the  
20 total HVIP bonus pool to be redistributed for the peer  
21 group is a sum of the two hospitals' withholds, or \$1.3  
22 million.

1           We then created a prospective exchange function  
2 for the peer group which converts total HVIP points to  
3 dollars and results in spending the entire \$1.3 million  
4 budget. So for every HVIP point that a hospital in the  
5 peer group earns they can receive a 0.065 percent payment  
6 adjustment.

7           Based on the hospital's HVIP performance and the  
8 peer group's exchange function, Hospital 1 will earn a  
9 payment adjustment of 2.6 percent which is equal to  
10 \$130,000, or are a reward of \$30,000 greater than the  
11 hospital's withhold. Because Hospital 2 had lower HVIP  
12 points, it will have a \$30,000 penalty compared to its  
13 withhold.

14           The Commission has a principle that it is  
15 important to take into account social risk factors, but  
16 that adjusting measure results may mask differences in  
17 quality. We have described an approach to account for  
18 social risk factors by using peer grouping to determine  
19 payment adjustments for providers. This is the first time  
20 we have modeled this approach in a provider quality payment  
21 program.

22           As seen in this table, the peer groups generally

1 have the same range of payment adjustments. For Peer Group  
2 1 hospitals, which have the lowest share of fully dual-  
3 eligible beneficiaries, the payment adjustments range from  
4 a negative 1.1 percent to a positive 1.1 percent. For Peer  
5 Group 10, which have the highest share of fully-dual  
6 eligible beneficiaries, the payment adjustment range is  
7 slightly larger, with the lowest penalty being 1.3 and  
8 highest reward being 1.6. By design, no one can lose more  
9 than their 2 percent withhold.

10           Most of hospitals that receive rewards under the  
11 current programs would continue to receive rewards and the  
12 same goes with penalties.

13           To understand differences between hospital  
14 performance in the current programs and their potential  
15 HVIP, we assigned hospitals to quartiles based on their  
16 performance in the current programs and then for their  
17 performance on the HVIP. About three-quarters of hospitals  
18 were in the same or within one quartile of performance.

19           Since one goal of the HVIP is to adjust payment  
20 in a way that accounts for differences in social risk  
21 factors, we closely examined how hospitals serving a large  
22 share of poor patients performed under the HVIP. This

1 figure compares the current quality payment program  
2 adjustments to modeled HVIP payment adjustments by peer  
3 group.

4           All the HVIP adjustments, the orange bars, are  
5 zero relative to the average, since the adjustments are  
6 budget-neutral within each peer group. Hospitals in Peer  
7 Group 1, with the lowest share of fully dual-eligible  
8 beneficiaries, on the left, receive a 0.39 percent positive  
9 adjustment under the current programs, the green bars,  
10 while Peer Group 10 hospitals, on the right, with the  
11 highest share of fully dual-eligible beneficiaries, receive  
12 a negative 0.41 percent adjustment, under the current  
13 programs.

14           So compared to the current quality payment  
15 programs, the HVIP approach makes payment adjustments among  
16 hospitals that serve different populations more equitable.  
17 We find a similar result when comparing payment adjustments  
18 for groups of disproportionate share hospitals.

19           In summary, the HVIP is simpler than the current  
20 four overlapping hospital quality programs, and promotes  
21 the coordination of care by using measures that capture  
22 care during and outside of the hospital stay.



1           In line with the Commission's principles, the  
2 HVIP we modeled uses a small set of outcome, patient  
3 experience, and value measures. The HVIP also sets clear  
4 and absolute performance targets for hospitals using a  
5 continuous performance-to-points scale. The HVIP converts  
6 those points to payment adjustments relative to groups of  
7 hospitals that serve similar shares of fully-dual eligible  
8 populations, or peer groups.

9           The HVIP appears to reduce the differences in  
10 payment adjustments between groups of providers serving  
11 populations with different social risk factors.

12           This brings us to your discussion. After  
13 answering any clarifying questions, we would like your  
14 feedback on refining the design of the HVIP, other analysis  
15 you would like to see, and whether the Commission should  
16 continue to work on the HVIP over the next cycle, with the  
17 goal of making recommendations.

18           Thank you, and we look forward to the discussion.

19           DR. CROSSON: Thank you, Ledia and Jeff. You  
20 know, this is really good work, I mean, seriously, and it  
21 gives us not only some interesting thoughts but some meat  
22 to kind of dig into here. So thank you so much for this.

1 Dana.

2 DR. SAFRAN: I do have a question. Yeah.

3 DR. CROSSON: Oh, I'm sorry.

4 DR. SAFRAN: Yeah. Yeah. Are we doing the  
5 questions now?

6 DR. CROSSON: I lost track.

7 DR. SAFRAN: It is really great work.

8 [Laughter.]

9 DR. SAFRAN: It is really great work. I have one  
10 or two questions. So my main question has to do with the  
11 methodology that you used around the social risk factors.  
12 So as you outlined in the chapter and in the summary that  
13 you just walked us through, the rationale behind not just  
14 simply doing adjustment for social risk factors is we don't  
15 want to hold providers to a different standard of care,  
16 based on the population they serve. I couldn't quite tell  
17 from my reading of the methodology that you used whether we  
18 might actually still be doing that.

19 So here's my question. You've got the 10 strata,  
20 based on social risk factors. Within each strata -- is  
21 everybody being compared within that strata? I mean, it  
22 sounded like there's a 2 percent withhold for each strata

1 and then based on performance within that strata you're  
2 either winning or you're not.

3           If that's the way it works then I'm afraid we  
4 should go back and rethink how we do this, because we have,  
5 then, created different standards, because what it takes  
6 for me to be successful in stratum number 1 is different  
7 from what it takes for me to be successful in stratum  
8 number 10. And I think our idea was we want the same bar.  
9 We don't want to say, you know, we're okay with a higher  
10 mortality rate because you have high social risk factors.  
11 What we wanted to do was to say we understand hospital,  
12 that it might take a different level of effort to achieve  
13 low mortality rates, low readmission rates, high patient  
14 experience in the population you serve, and, therefore, for  
15 achieving a given level of performance you're going to get  
16 a greater reward.

17           So the fact that we've sort of equalized the  
18 rewards across this didn't seem like such great news to me.  
19 It seemed like we hadn't actually done what I thought we  
20 were setting out to do. But I could be misunderstanding so  
21 I wanted to reality-check that with you.

22           DR. CROSSON: Okay. Thank you. Oh, sorry.

1 MS. TABOR: I guess one thing I will say is that  
2 we did use the same performance-to-point scale for all  
3 hospitals, so they did all have the same standard of, you  
4 know, you're going to get 2 points if your mortality rate  
5 is X. But then the rewards themselves were kind of doled  
6 out within the peer groups.

7 DR. SAFRAN: So let me just make sure I  
8 understand that. So was there like an absolute -- because  
9 one of the things I love in here -- but I know this is  
10 questions, not comments -- is about the absolute  
11 performance targets, right, that are in the principles. So  
12 are you saying that for readmissions, let's say, that let's  
13 say a good number is 5 percent, that you're looking within  
14 all 10 of the strata at how well the hospital is able to  
15 achieve that bar of 5 percent readmissions or better, and  
16 then rewarding them for that, and where that bar is set is  
17 the same, regardless of what strata you're in?

18 MS. TABOR: Yeah. So this continuous  
19 performance-to-points scale that is on Slide 7, we applied  
20 to all the hospitals in the modeling exercise, so all  
21 3,000-and-change hospitals were given points based on this  
22 scale.

1 DR. STENSLAND: Another way to think of it is  
2 everybody gets scored the same and everybody's public  
3 reporting score will be the same, but if you serve a lot of  
4 poor people we're saying, well, it might be harder for you  
5 to reach those higher scores. So we're giving you a little  
6 bit more money for every point you achieve.

7 DR. SAFRAN: That was what -- okay, perfect.  
8 That's what I know we set out to do, but I was -- I  
9 couldn't get from the reading whether that was what we  
10 actually did, so that's great. I'm excited. Thank you.

11 DR. CROSSON: Okay. Now I'll do my own reality  
12 check. We are doing clarifying questions. Brian.

13 DR. DeBUSK: First of all, I really, really liked  
14 the chapter.

15 If you guys could just run me through, one quick  
16 time, because I'm almost certain I have the order of  
17 operations, but one time for the record, we measure, then  
18 we risk adjust, then we scale it to a prospective target  
19 range, then we peer-group it, and then we calculate the  
20 payment adjustment.

21 MS. TABOR: Yes.

22 DR. DeBUSK: Measure, risk, scale, peer group,

1 adjust.

2 DR. STENSLAND: Yeah, I don't know how -- if  
3 we've got the same terms, but we're scoring, then we're  
4 peer-grouping, then we're adjusting the payment.

5 DR. DeBUSK: Okay. But you take the measurement,  
6 then you risk-adjust it, I would assume --

7 DR. STENSLAND: Yeah.

8 DR. DeBUSK: -- traditional risk adjustment, then  
9 you score to the absolute scale, then you peer-group, and  
10 then you make -- perfect.

11 And then I had one other question that sort of  
12 built on what Dana said. I was under the impression that  
13 the individual deciles for peer-grouping were like  
14 compartments, like the 2 percent stayed within that  
15 compartment. Have you contemplated the idea -- you know,  
16 if I'm serving a very low portion of Medicare, or of dual-  
17 eligible, fully dual-eligible beneficiaries, if I'm serving  
18 a low portion and I do a really poor job, would you  
19 consider me taking an ever greater penalty and maybe using  
20 some of that excess, letting that spill over into one of  
21 the lower compartments, say someone who is serving -- who  
22 is doing a good job serving a higher at-risk population? I

1 mean, what was your logic around keeping that 2 percent  
2 within the compartments?

3 MS. TABOR: We did have some internal discussion  
4 about that, and we liked the idea that the number of -- the  
5 size of the hospitals in the peer group would inform the --  
6 how the budget is used or how much of a budget there is.  
7 So we wanted -- you know, if you're a small hospital,  
8 you're going to contribute a small amount to the pool and  
9 get, you know, a reward or penalty based on that small  
10 input into the budget.

11 DR. DeBUSK: What I was asking is if you treated  
12 the 2 percent collectively -- and, again, I was just  
13 curious about your logic. If you treated the 2 percent  
14 collectively across all the hospitals, but then the way you  
15 distributed it within the peer groups wasn't necessarily  
16 proportional -- I mean, a ridiculous example. In the most  
17 well-to-do hospital class, peer group class, maybe you  
18 distribute nothing but penalties; whereas, in the most  
19 difficult class, you would distribute only -- and I know  
20 it's a ridiculous example, but you'd only give bonuses.

21 DR. STENSLAND: We had thought about that, but we  
22 kind of liked to separate our shifting of money between the

1 hospitals serving the poor and not serving the poor,  
2 because that's really what comes out of the DSH payments.  
3 So there's a separate -- if you have a lot of  
4 disproportionate share payments, you get these DSH  
5 payments. So that's the mechanism we'll use for that, and  
6 then this will be a separate mechanism.

7 DR. DeBUSK: Great point. I concede to the  
8 superior logic.

9 [Laughter.]

10 DR. CROSSON: David.

11 DR. NERENZ: I just want to follow on Dana's  
12 question, because I thought we were going to end up saying,  
13 well, yes, in fact, there are different standards. And you  
14 said no, and okay. But let me just try a test case. If  
15 you're a hospital in the decile that has the fewest duals,  
16 and let's say you score 28 points, and let's say also in  
17 this hypothetical you're in the worst -- or the highest,  
18 and you also score 28 points, would it work out that in the  
19 first case you would lose money, in the second case you'd  
20 get money? Is that a way that this would play out?

21 MS. TABOR: [off microphone].

22 DR. NERENZ: Okay. Then we can still quibble



1 about this different standard thing, but okay.

2 DR. CROSSON: Questions, coming up this way?

3 Bruce.

4 MR. PYENSON: A question on Slide 4 and the  
5 right-hand portion of that has the four measures that you  
6 were proposing, and it seems to me three out of four of  
7 those are universal across payers. Those could be measured  
8 for commercial, could be measured for Medicaid. And I'm  
9 wondering if you could -- mortality, overall patient  
10 experience. I'm wondering if you could comment on the  
11 availability of data so that these measures could extend  
12 beyond Medicare.

13 MS. TABOR: We haven't looked into the  
14 availability of the claims and Medicaid data to calculate  
15 these. You know, we've been focused on it with the  
16 Medicare lens. But that's something we could look into.

17 I will comment that the overall patient  
18 experience is actually collected on a hospital's total  
19 patient population. It's not just Medicare focused. So  
20 that's already calculated, regardless of coverage, whether  
21 it's commercial, Medicaid, or Medicare. But we can look  
22 and think about uniformity across the other payers for the

1 other measures.

2 DR. CROSSON: Okay, questions? Sue.

3 MS. THOMPSON: A comment on 2 percent. Why not 3  
4 percent? I mean, if we're really serious about making a  
5 statement, what -- have you thought about that? Or what's  
6 your thinking?

7 MS. TABOR: Yeah, I mean, I think that's one of  
8 the topics we were hoping the Commission would talk about  
9 today, is that we chose 2 percent because that's what the  
10 VBP uses. You know, but the Commission before has had  
11 discussions about what's kind of the right incentive to  
12 drive improvement. I know the Commission has also talked  
13 about kind of scaled approaches. You know, you can start  
14 out with 2 percent and then the next year bring it to 4,  
15 next year bring it to 5. So we'd like your input. We just  
16 picked a number to model.

17 DR. CROSSON: Craig.

18 DR. SAMITT: My question tags on to that exact  
19 question, which is when we model the distinct prior  
20 measurement programs versus now the bundled measurement  
21 program, and we think about sort of the maximal gain or the  
22 maximal loss from the prior methodology versus this new

1 bundled methodology, is it comparable or are we watering  
2 down the potential incentive here at the 2 percent level  
3 when we compare before and after?

4 MS. TABOR: I will say that for our modeling,  
5 when we did the comparison of the current programs to the  
6 new HVIP, we did a budget neutrality adjustment since the  
7 current programs right now do overall penalize hospitals.  
8 It's not budget neutral.

9 DR. STENSLAND: I think the range is smaller with  
10 this than it was with the other, because the other, you  
11 could get a 3 percent penalty on readmissions alone, and  
12 here we just have a maximum of 2. So that's another  
13 consideration to think about when you think about how much  
14 you want to size it. Your question and Sue's very much go  
15 together.

16 DR. SAMITT: Yeah, and we can come back to it in  
17 Round 2, but then in many respects, you'd asked the  
18 question, what percentage would it have to be other than 2  
19 percent to get the impact ranges to be comparable to the  
20 current total program base?

21 DR. CROSSON: Okay. Questions? Jack.

22 DR. HOADLEY: Also on the peer grouping, in the

1 case where you're using the dual-eligible percentage to  
2 divide into the deciles, you know, that's something that  
3 obviously is data driven and a hospital could be in a  
4 different decile. But I'm thinking it's relatively stable  
5 over time. Do you have any data to suggest how much a  
6 hospital might be moving, you know, be in Peer Group 8 one  
7 year and in 4 the next?

8 DR. STENSLAND: In the past, whenever we looked  
9 at these DSH percentages, they don't move that much. You  
10 know, you might move from decile 9 to decile 10, but you're  
11 not going to be going from decile 10 to decile 2.

12 DR. HOADLEY: Right. And is there any concern  
13 about any kind of gaming in terms of -- obviously, you have  
14 more potential -- if you can perform the same way and you  
15 get yourself in a different decile, it's going to affect  
16 your scoring. I mean, given the measures you're using, it  
17 feels like that's not a big problem, but I wondered if you  
18 had thoughts or had thought about that.

19 MS. TABOR: I don't think we've given much  
20 thought to it, but I think we did pick the measures that  
21 were, you know, claims-based and tied to payment. So we're  
22 going to be less game-able.

1 DR. HOADLEY: It's probably something worth at  
2 least mentioning, but it might be able to be mentioned very  
3 briefly.

4 DR. CROSSON: Questions? Warner.

5 MR. THOMAS: Talk a little bit more about how  
6 this would apply to MA, and I think going to Bruce's point,  
7 could it be extended into the commercial world?

8 MS. TABOR: So I think one of the things that we  
9 did when we were developing this is, you know, we were  
10 trying to replace the fee-for-service payment program, but  
11 we did think about, you know, MA as plans are currently  
12 being held responsible or accountable for readmissions. So  
13 kind of the same concepts. Also patient experience and  
14 kind of spending. So we did kind of want to align like as  
15 one of the Commission's principles across payment models.  
16 But we did think about this in a straight fee-for-service  
17 way.

18 MR. THOMAS: So is the thinking that this would  
19 be a requirement or a request to MA plans, you know,  
20 implement a similar model?

21 MS. TABOR: I think, you know, that would be for  
22 the Commission's discussion, but I think, you know, based

1 on our principles, we'd want to have as much alignment  
2 across providers on the types of measures that are used.

3 MR. THOMAS: And do you feel like the measures  
4 can be calculated in the MA world?

5 MS. TABOR: Yes.

6 MR. THOMAS: Okay.

7 DR. CROSSON: Okay. Now we'll proceed to provide  
8 feedback to Ledia and Jeff. We'll start with Dana.

9 DR. SAFRAN: This is a terrific chapter. I  
10 really commend you on this great work, and it will  
11 transform the way that we think about and hopefully the way  
12 CMS engages hospitals with respect to measurement and the  
13 payment incentives tied to measurement. The simplification  
14 that, you know, you're proposing I think is elegant, and  
15 the principles, and seeing the principles applied here and,  
16 in particular, moving to absolute performance targets and  
17 the way that -- now that I understand it, the way that  
18 you've been able to incorporate that into the social risk  
19 factor stratification really seems like a very important  
20 advance in the field. So it'll be exciting to start to get  
21 some feedback on that. But I think the way that you've  
22 incorporated that is really exciting. Having absolute

1 targets and having them be fixed, you know, so there's  
2 transparency from the payer to providers about what is  
3 expected, and it's not a tournament, is, you know, just a  
4 tremendous advance and should enable best practice sharing  
5 in ways that are almost always inhibited when there is a  
6 tournament style of incentives. So I really commend you on  
7 that.

8           I love that the proposed HVIP model moves to big  
9 dot measures, you know, and just for big dot measures, and,  
10 you know, CMS has been increasingly moving away from  
11 process measures. We all know that providers are sort of  
12 screaming louder every year about too many measures, and,  
13 you know, that's what the fee-for-service system had  
14 brought us, was, you know, measuring each thing that got  
15 done. And so now in this era of paying for value, the fact  
16 that we could really have a program that really modeled  
17 what it would look like to pay for the big dot measures I  
18 think is really exciting and a great example.

19           So I'll just offer three comments about things  
20 I'd suggest thinking about. One is in terms of what might  
21 be missing from our list of four, I think we might have  
22 talked about this last time, but -- and I know you've

1 looked at it, but I'll just throw it out there as something  
2 to consider going forward, even if it doesn't exist today  
3 as a gap to be filled, which is measures of harm. So  
4 that's one of the things that we really need to pay  
5 attention to with respect to hospital performance, and I  
6 know from the chapter that you've looked at things like PSI  
7 90 and, you know, some of the things that do measure harm,  
8 and none of them are ready for prime time. But I think we  
9 should hold that out as a gap that should get filled.

10           End-of-life quality measurement, I don't know  
11 that that's appropriate for a hospital value incentive  
12 program, but maybe it is. And so that's a gap for us to  
13 fill.

14           And then I know in the chapter, you know, you  
15 have this kind of lead-in that sort of fits with this  
16 chapter but sort of doesn't about the PPA and the home and  
17 community days measures. Something like home and community  
18 days, even though it didn't show differentiation across  
19 providers in the work that you've done here, or as we were  
20 talking about in the last segment, measures of functional  
21 status improvement or change, that might be harder for  
22 hospitals. But something that's sort of a positive



1 indicator of successful care is -- feels like it's missing  
2 here, too. So those are the four things.

3           And my last two comments, one about weighting, I  
4 see that you're suggesting to weight these four things  
5 equally. I had different thoughts about that, didn't land  
6 anywhere specific that I would say, Really? You know, are  
7 you going to say readmissions and mortality are really  
8 equal weight? But it'll be interesting to hear if there's  
9 some conversation about that today.

10           And then a final thing is on HCAHPS, I'd ask you  
11 to consider whether -- I know there's elegance to choosing  
12 just one measure and that, you know, if you're going to do  
13 just one measure, having a global 0 to 10 rating is a good  
14 measure. But I think hospitals find that not very  
15 actionable and not very clinically meaningful. And so I  
16 would just offer up the idea that you could consider  
17 creating a composite of the other clinically meaningful  
18 composites like quality of doctor communication, quality of  
19 nurse communication, quality of discharge instructions.  
20 You know, just two or three of the ones that really are  
21 actionable, really are clinically important might be a  
22 better way to represent patient experience. But fantastic

1 work. I'm really excited about it.

2 DR. CROSSON: Okay. Further feedback? We'll  
3 start with Jon and go around this way.

4 DR. CHRISTIANSON: Yeah, I'll go back and talk  
5 about the home and community days measure again, but it  
6 applies to something you just said, Dana. And I'm hoping  
7 David nods his head when I say this because -- when you use  
8 these composite measures, I think there are two critical  
9 conceptual issues. Which measures go into the composite?  
10 And then how do you weight the measures that go into the  
11 composite? And I think we spent some time in the past  
12 talking about whether home health care should go into the  
13 composite, and I was glad to see it disappeared. But then  
14 we went right to the statistical properties as the reason  
15 to say, okay, we're not proceeding with this right now.  
16 But when we talk about it on page 18, we say just in half a  
17 sentence, oh, and CMS may want to do something other than  
18 weight equally.

19 So I think that's an incredible difficult  
20 conceptual problem. I think it would be very useful to  
21 provide CMS with some -- or anybody with some background on  
22 that. That's a critical part of the measure. Weighting

1 equally, of course, implies a certain value set. Not  
2 weighting equally applies another value set. I think we  
3 don't talk about it much because we don't really know how  
4 to come to grips with it very well. But I do think in this  
5 chapter or this discussion we need to at least have a  
6 couple of paragraphs talking about this part of the  
7 conceptual part of the measure, and what have people done,  
8 how do you come to a notion that a certain set of non-equal  
9 weights is the right way to go, how do you come up with  
10 those weights. And this gets back to your comment, Dana,  
11 in terms of the same thing will continue to reappear. And  
12 so far I don't think in our discussion of these composite  
13 measures we really address that in a very straightforward  
14 way. And so somewhere here I would like to see us have a  
15 discussion of that at a conceptual level.

16           Are you nodding up and down?

17           DR. NERENZ: Can I respond? No, I agree  
18 absolutely. I'm glad you made the point. And we ought to  
19 talk about that more. In fact, one of the things that we  
20 could consider as one of many methods of feeding a  
21 weighting system would be, say, beneficiary focus groups.  
22 I'm imagining a question with a group of people. You say

1 there's two bad things that can happen to you going into  
2 the hospital. You can get readmitted, or you can die.  
3 Which one matters to your more?

4 [Laughter.]

5 DR. CHRISTIANSON: Or one day you can go to the  
6 emergency room for two hours or you can die.

7 DR. NERENZ: Exactly. And I'm obviously designed  
8 to provoke there, but there are ways of thinking about the  
9 weighting that really have to be taken up seriously, and,  
10 you know, as Ledia pointed out, it's true that in the  
11 current system, readmitting is weighted more highly in the  
12 mix, and people have raised the question: Is that really  
13 the thing that matters most to beneficiaries? And then is  
14 that the criterion upon which you set the weighting? So it  
15 needs more attention.

16 Thanks, Jon.

17 DR. CROSSON: Craig.

18 DR. SAMITT: This is wonderful work. Thank you  
19 very much. I have two quick comments. One relates to the  
20 measures themselves, and Dana I think prompted this for me,  
21 but I think we just want to stare at each of the four  
22 measures that we've recommended here to determine whether

1 they actually capture the outcome we really want from the  
2 hospital system and whether even some of these measures,  
3 while they may look benign on the surface, could actually  
4 result in clinical choices we don't want to make or even  
5 some patient harm. And the one that I will focus on is  
6 HCAHPS, patients' overall rating of a hospital. In a prior  
7 life, one of the systems that I worked in had determined  
8 that the greatest correlate with overall patient rating was  
9 pain control, which resulted in liberalization of opioid  
10 prescribing, which generates what you know exists today  
11 with the crisis that exists, or at least contributes to it.

12           So I think it would be worthwhile just looking at  
13 these and determining whether we should get more specific,  
14 because, again, to Dana's point, when we're generic, we may  
15 not be concentrating on the exact type of rating that we  
16 would want from the patient about their hospital  
17 experience, or any of these measures, for that matter.

18           And then the only other one is the one that we  
19 asked in Round 1. I do question whether the percentage,  
20 the 2 percent, is adequate. And I'd be interested that the  
21 gears start turning whether I'm more likely to win as a  
22 hospital by generating many readmissions than I would be at

1 risk for a 2 percent savings. And so when I do the math,  
2 sort of do I really even care about these things? And I  
3 think that the number has to be large enough to really  
4 focus on the outcomes we want to achieve.

5 DR. CROSSON: David.

6 DR. GRABOWSKI: I think if you ever wanted to  
7 convince somebody that the Medicare system and our health  
8 care system was fragmented, all you'd have to do is tell  
9 them we have four overlapping hospital value-based payment  
10 programs. It's hard to fathom. And so I really like the  
11 simplification here, and I really like the proposal that  
12 you put forward.

13 I wanted to come back to a point that several of  
14 the Commissioners raised around other kind of data and  
15 other payers. When you have a big broad program like this  
16 one, it's going to have tremendous spillovers. It's hard  
17 to just, you know, treat, as a hospital, your fee-for-  
18 service Medicare patients one way and everyone else another  
19 way. And so thinking about leveraging those spillovers  
20 would be really important here. And to the extent there's  
21 any way to align this -- Warner, you raised Medicare  
22 Advantage, but other payers as well, Medicare could

1 experience those positive spillovers as well. So I'd love  
2 to think about that because undoubtedly, like every other  
3 big broad program that we evaluate, there are these  
4 spillovers to other groups of patients at these providers.  
5 This would be really nice to try to leverage some synergies  
6 here.

7 DR. CROSSON: Dana.

8 DR. SAFRAN: Just a comment on that to say  
9 speaking for one commercial payer, we use Medicare's  
10 measures for hospital anyway because we don't have enough -  
11 - even as Blue Cross, we don't have enough sample size in  
12 our market on hospital for commercial to do anything other  
13 than use Medicare measures.

14 DR. CROSSON: Further comments? Bruce and Rita.

15 MR. PYENSON: I'm delighted to see mean time  
16 between failure discussed.

17 [Laughter.]

18 MR. PYENSON: No, really. But would encourage  
19 further view of healthy days without interacting with the  
20 health care system as a -- to explore that more, which  
21 means just about any day interacting with a health care  
22 provider is a negative.

1 DR. CROSSON: Okay. Rita, I think I saw your  
2 hand.

3 DR. REDBERG: I also want to compliment you on a  
4 really nicely done chapter, and I really like the kind of  
5 big-picture focus on population measures and getting away  
6 from all the individual measures.

7 I was thinking about harm before Dana said it and  
8 wondering whether it got incorporated in readmissions and  
9 mortality, because it's so hard to measure harms. And I  
10 don't know, I certainly think we can think and talk more  
11 about that. And then I thought, well, with putting healthy  
12 days at home, the kind we talked about for post-acute care,  
13 would that also help here?

14 Just to comment on the patient experience, I do  
15 share some of Craig's concerns that patient satisfaction  
16 sounds good, but right, so did, you know, are you pain-  
17 free? And then it did -- there were a lot of things that  
18 contributed to the terrible opioid epidemic, but certainly  
19 that patient measure of being pain-free did contribute to  
20 it. And so I was thinking, you know, measures that are  
21 focused more on communication, things that are very -- you  
22 know, did your doctor -- did you understand your



1 medications at discharge, why you were having procedures?  
2 I mean, it's just astonishing how patients really don't  
3 understand why they get most of what happens to them when  
4 they're in a hospital, and that seems like something that  
5 would be valuable and is more meaningful than, you know,  
6 how was the food or -- which is also important. I'm not  
7 saying it's not, but more of the hotel qualities of the  
8 hospital.

9           And if we were going to weight, I think it would  
10 probably, to me, makes sense to weight mortality and  
11 spending more. I'd like putting spending in there because  
12 with the other patient quality measures -- readmissions and  
13 mortality -- it's not like you can save money if patients  
14 aren't doing well because patients still have to be doing  
15 well. But to me, that's also an indirect measure of harm  
16 because patients that have harms become very expensive.

17           So I'm very excited about this work.

18           DR. CROSSON: Yeah. Thank you, Rita.

19           Brian, is there anything left for you to say?

20           [Laughter.]

21           DR. DeBUSK: First of all, congratulations on a  
22 really well-written chapter. There's a lot to be excited

1 about, and let the record show that I have not raised the  
2 mean time before failure as an integral decision.

3 [Laughter.]

4 DR. DeBUSK: Thank you, Bruce.

5 The one thing I do want to focus on, I get really  
6 excited about this idea of a standard vehicle or framework  
7 for doing this, again, measure, risk adjust, scale, all  
8 that, and I hope that that shows up again and again, even  
9 outside of the hospital program because there's a lot of  
10 power in having that standardized framework.

11 I mean, it's not quite a MedPAC principle, but I  
12 would hope that we could elevate it to something fairly  
13 close to that because when I look at -- and I'm not going  
14 to name the example, but for example, one of the other --  
15 the reading material actually did use regression to account  
16 for or to explain some race and dual eligible status in one  
17 of the other chapters just from this meeting, and it's a  
18 little frustrating because you're digging through  
19 appendices. You're digging through footnotes trying to  
20 figure out what did we do and what order did we do them in,  
21 and it's really refreshing. If you guys could build this  
22 out as a standardized treatment, I know the measures are

1 important, but I would argue right now that equally  
2 important is standardizing the methodology so that we  
3 aren't wondering when this adjustment got made, when and  
4 where and why.

5           So congratulations. It's a great chapter.

6           DR. CROSSON: Thank you.

7           Okay. Kathy.

8           MS. BUTO: I want to say that I think that it's  
9 an excellent framework and a lot clearer than the last time  
10 we talked about it, which I think there was more confusion  
11 around what we were trying to get at. I think this is much  
12 more clear.

13           I'm still, I guess, regretful, I guess I'd say,  
14 that we can't have some form of the hospital-acquired  
15 conditions measure in here. I know we don't want the  
16 tournament model. I know that the data are self-reported,  
17 so that makes it unreliable.

18           But it seems to me -- I started looking at the  
19 DRGs to see if there were anything in the DRG system  
20 itself, which could give us clues as to whether certain  
21 hospitals are having a bigger issue here. And I don't know  
22 how septicemia is coded and so on and how MCCs and CCs are

1 coded, but it just seems to me there might be a less self-  
2 reported route to looking at infections and hospital  
3 safety. And it kind of goes to the harms issue that Dana  
4 was talking about.

5 I just regret that because I know as a consumer,  
6 hospital infections are one of the most important things to  
7 me. It's to understand what the safety of that hospital is  
8 for a loved on.

9 So it just strikes me that there must be a way we  
10 could pick something like that up or look into it and see  
11 if there's something we can pull in at a later date.

12 DR. CROSSON: Warner.

13 MR. THOMAS: So directionally, I like the fact  
14 that we're collapsing the programs and consolidating it.

15 A couple of comments. One, I would agree with  
16 Kathy that -- and I can't believe I'm saying I want to add  
17 measures, but I think it's important to. I mean,  
18 certainly, mortality and readmission is important, but you  
19 can be discharged from the hospital and not be readmitted.  
20 You could have passed in the hospital, but you could still  
21 not have a great outcome. There could be still something  
22 that has happened either in the hospital or post.

1           So I think looking at, whether it's patient  
2 safety measures, hospital-acquired conditions, I do think  
3 there should be something, and it doesn't have to be a lot,  
4 but there could be a small bundle. There could be a couple  
5 that are looked at, and maybe they're grouped together so  
6 there's a patient safety indicator or something that's in  
7 there. So I just think that would be important.

8           I like the idea around kind of grouping pairs,  
9 but there's a lot of complexity around that. I'm hopeful  
10 whatever we do can be understandable so people can think  
11 about how they improve and they understand kind of where  
12 they're going to be in the pair groups and that sort of  
13 thing.

14           I'm a little concerned about just in the chapter,  
15 the scores for academic medical centers and coronary care  
16 centers and kind of how that's going to -- how are they  
17 going to be aligned or in certain pair groups because,  
18 obviously, in those where you see higher end care, lots of  
19 times you do see higher readmission rates with big  
20 transplant programs and that sort of thing. So I think  
21 that needs to be thought about as to how this impacts  
22 academic medical centers and whatnot.

1           The last thing I would say is I would really be  
2 hopeful that whatever we do, we are very clear that we  
3 expect it to be in the MA program as well, and that we also  
4 -- and that becomes part of the program. The MA plans have  
5 to adopt whatever it put forth, and that we also do  
6 something that could be applicable in the commercial arena  
7 as well, so we can start to simplify measures across all  
8 the payers.

9           DR. CROSSON: Thank you.

10          Paul.

11          DR. GINSBURG: Also, I thought the work was  
12 superb, and I'm enthusiastic about the HVIP.

13          One thing that Ledia mentioned briefly, but  
14 nobody else has brought it up, that I think is a real asset  
15 is the fact that incentives to improve in this program are  
16 continuous. They affect all hospitals. They affect all  
17 the things that are being graded on, and that's a big  
18 improvement over where we were, certainly with  
19 readmissions, where lots of hospitals were off the hook  
20 just because they weren't close to the thresholds.

21          I'd also like to endorse as many of these things  
22 as we can do to make them workable for other payers to

1 adopt them. That would deal with a longstanding problem.

2 I also agree that I think we need to be larger  
3 than 2 percent in a program like this, particularly with  
4 all that it's covering.

5 The final thing I want to say is that I am  
6 extremely relieved that home and community days is being  
7 put aside at least for a while, and I think it all comes  
8 down to this was a measure that was extremely dominated by  
9 mortality. That if someone dies early in the year, that's  
10 just going to overwhelm days in SNFs or hospitals.

11 And I think the fact that you didn't find much  
12 differentiation is because -- let's remember that when we  
13 talk about mortality or even chronic disease burden,  
14 medical care is not that high on the list of what's  
15 important as determinants, so I think that's really what  
16 we're picking up when we compare areas.

17 DR. CROSSON: Thank you, Paul.

18 Pat.

19 MS. WANG: I echo others' praise for the report  
20 and the approach that's taken. I am in the same camp as  
21 Kathy and Warner around the hospital-acquired conditions.  
22 We had talked about this earlier, patient safety measures.

1 It really feels like those should be part of any kind of  
2 formal evaluation of quality of hospital.

3 I also want to express, since the sort of  
4 framework at least that is in here, at least for first  
5 consideration, as equal weighting of the measures. Patient  
6 experience is important. In this example, is it 25 percent  
7 important? That seems a bit high. I personally would like  
8 to understand more about what drives patient satisfaction.  
9 It's clearly really important, but I think that there are a  
10 lot of factors that go into that.

11 For example, if an institution were able to blow  
12 it out of the water for patient satisfaction but really  
13 didn't do very well on mortality and admission and  
14 patients, I mean, like do we really think that it should be  
15 weighted so heavily to maybe tip them into a category where  
16 an institution that had the opposite profile grade on  
17 patient safety, readmissions, mortality, et cetera, that  
18 those would be considered equal institutions? It's  
19 possible that that kind of think happens.

20 Also, the idea of peer grouping for social  
21 factors is very important. I actually think that there are  
22 some things that influence the way that patients respond to



1 these CAHPS surveys that are not necessarily related to  
2 social risk factors but are related to cultural place of  
3 residence, area of the country factors that are kind of  
4 mysterious and maybe an evaluation that compares  
5 institutions across the board on this measure. That this  
6 is a little bit less locked down and precise and  
7 measurable.

8           So I have some real caution about -- I think it's  
9 important to include, but I don't think that it should be  
10 equally weighted.

11           And just the final thing, on the Medicare  
12 Advantage point, I think this is really important.  
13 Obviously, there would be many steps to be taken for this  
14 to be incorporated, but the biggest difference, which I  
15 really think is important, is that this is not a tournament  
16 model, and the one overlapping measure in here on  
17 readmissions -- and the MA program is a tournament model,  
18 this is being -- it's also not adjusted for social risk  
19 factors. In this program, this is a big advance that it  
20 would not be a tournament model, and that it would be  
21 adjusted.

22           So right off the bat, there's big disconnect

1 there, and maybe there's something in the future, reports  
2 that can address that, because that one measure is in both  
3 programs, and now they'll be treated completely  
4 differently, the right here, in my view.

5 DR. GINSBURG: I agree with Pat about the  
6 weighting, being against the equal weighting, and to go a  
7 little further, with this equal weighting, there is a  
8 possibility -- and maybe Ledia and Jeff already know the  
9 answer -- that it's taking the readmissions penalties and  
10 actually moving less money around for readmissions than we  
11 are today. And since we've had evidence that readmissions  
12 is working, we would really want to avoid that.

13 Also, I think part of it is not necessarily our  
14 values, but our confidence like in the patient experience.  
15 I don't think we're as confident in the meaning of the data  
16 we have on patient experience as we are about the  
17 significance of the readmission sake.

18 DR. CROSSON: And I forgot who made the point  
19 over here. It may be necessary that we do some work in  
20 examining the elements of the measurement of patient  
21 experience and try to understand the relative -- and I  
22 would say objectivity. It's not really right, but there

1 may be some things that are more objective than others, and  
2 then the issue of unintended consequences as well.

3           The last point, Dana?

4           DR. SAFRAN: Yeah. Well, since I used to make my  
5 living on patient experience-measured development, I'll  
6 just comment that I think that's part of why I want to  
7 steer us away from a global rating to the more clinically  
8 specific because we know a lot about those measures  
9 actually, and it's interesting to hear that even this  
10 incredibly educated group of health care experts doesn't  
11 realize how much we know about the patient experience  
12 measures. And they are in fact equally reliable and valid,  
13 and you need smaller sample sizes to get a very strong  
14 signal about the performance of one institution versus  
15 another on things like communication, quality, discharge  
16 instructions, and in fact, those measures have been shown  
17 in a number of well-done studies to be important predictors  
18 of outcomes, including readmissions.

19           So I think we need to look at how these measures  
20 relate to each other, but I just wanted to mention that  
21 because I'd be -- actually love to down-weight patient  
22 experience, so long as we put the right ones in the mix

1 here.

2 DR. CROSSON: Okay. Excellent work. Again,  
3 excellent discussion, and I think we've got the platform  
4 for moving forward in this area, no question.

5 So thank you, Ledia and Jeff, and we'll move on  
6 to the final presentation of the day.

7 [Pause.]

8 DR. CROSSON: Okay. Our final presentation today  
9 is a continuation of our work on low-value care, and we're  
10 going to be looking at low-value care and Medicare coverage  
11 policy specifically. Ariel, Nancy, and Carlos have been  
12 doing this work and are here to present, and it looks like  
13 Ariel is going to begin.

14 \* MR. WINTER: Good afternoon. I want to begin by  
15 thanking Emma Achola, Sydney McClendon, and Ledia Tabor for  
16 their extensive work on this project.

17 This presentation is related to prior work on  
18 Medicare's coverage policies and cost-effectiveness  
19 analysis.

20 Deficiencies in Medicare's coverage process allow  
21 coverage of services that are low value. The material  
22 we're presenting today, along with the prior presentations,

1 will be included in a chapter in the upcoming June report.

2           For today's presentation, we will discuss  
3 findings from the literature and staff analyses of low-  
4 value care, present three case studies of potentially low-  
5 value services, and conclude by describing policy tools  
6 that could be used to address low-value care.

7           So what do we mean by low-value care?

8 Researchers define it as services with little or no  
9 clinical benefit or care in which the risk of harm from a  
10 service outweighs its potential benefit.

11           Low-value care is a concern for two reasons.  
12 First, it has the potential to harm patients, both directly  
13 by exposing them to the risks of injury from the service  
14 itself and indirectly when the initial service leads to a  
15 cascade of additional tests and procedures that contain  
16 risks but provide little or no benefit. And second, it  
17 increases health care spending.

18           According to a study by Schwartz and colleagues,  
19 there is substantial use of low-value services in fee-for-  
20 service Medicare. Other studies find that low-value care  
21 is also prevalent among other populations, such as Medicaid  
22 and commercially insured patients.

1           Some of those articles are listed here, and there  
2 are others in your mailing paper.

3           Evidence from some of these studies suggests that  
4 the amount of low-value care is more a function of local  
5 practice patterns than the type of payer.

6           We conducted two analyses of low-value care in  
7 Medicare. The first examined selected low-value services  
8 in fee-for-service Medicare, using 31 claims-based measures  
9 developed by Schwartz and colleagues. This is the same  
10 analysis we presented to you last April.

11           The examples of these measures include imaging  
12 for nonspecific low-back pain, stress testing for stable  
13 coronary disease, and spinal injection for low-back pain.

14           The second analysis examine a HEDIS measure of  
15 PSA testing rates in both Medicare Advantage and fee-for-  
16 service Medicare, and this is a new analysis.

17           Because we presented the results of this first  
18 analysis last year, I'm just going to review the results at  
19 a high level. There are more details in your paper,  
20 including results for each of the individual measure.

21           We present a range of results here. The low end  
22 of the range comes from the narrower, more conservative

1 versions of each measure, and the high end is from the  
2 broader versions of each measure.

3           In 2014, between 23 percent and 37 percent of  
4 beneficiaries received at least one low-value service.

5 There were between 34 and 72 low-value services per 100  
6 beneficiaries.

7 And Medicare spending for these services ranged from \$2.4  
8 billion to \$6.5 billion.

9           Our results probably understate volume and  
10 spending on low-value care, and thus, they represent a  
11 conservative estimate of the actual amount of low-value  
12 services.

13           This is because the measures are based on claims  
14 data, and there are a limited number of measures that can  
15 be calculated with claims.

16           In addition, our spending estimates do not  
17 include the cost of downstream services that may result  
18 from the initial low-value service.

19           We also looked at geographic variation in low-  
20 value care. We found that even after adjusting for  
21 differences in demographic characteristics and  
22 comorbidities, there is still substantial geographic

1 variation in the use of low-value services. Five of the  
2 six areas with the highest adjusted number of low-value  
3 services are in Florida.

4 And Carlos will now discuss our second analysis.

5 MR. ZARABOZO: As Ariel mentioned, we have done  
6 an analysis looking at a measure of low-value care that  
7 Medicare Advantage plans have been reporting for the past  
8 three years to the MA quality reporting system, or HEDIS.  
9 The measure is the rate of non-recommended PSA testing for  
10 men age 70 or older.

11 Regarding the rationale for the HEDIS  
12 specifications for this measure, they are consistent with a  
13 draft recommendation of the U.S. Preventive Task Force,  
14 which says that routine PSA testing for men over 70 is not  
15 recommended and that testing for men in the 55-to-69 age  
16 range should be based on a decision of the patient and his  
17 doctor. Pending the finalizing of the draft  
18 recommendation, the current Preventive Services Task Force  
19 recommendation is that routine PSA testing is not advisable  
20 for a person of any age.

21 Unlike the many HEDIS measures that are based on  
22 medical record sampling, for this measure, MA plans use



1 administrative data, such as electronic medical records and  
2 claims and encounter data to report the measure. The  
3 measure applies to a large population because it includes  
4 all men age 70 or older not qualifying for an exception,  
5 such as beneficiaries with a history of elevated PSA  
6 levels.

7           A comparable measure for fee-for-service Medicare  
8 can be computed using the HEDIS specifications applied to  
9 claims data in fee-for-service. The large number of  
10 beneficiaries to whom the measure applies in both fee-for-  
11 service and MA allows us to do MA-to-fee-for-service  
12 comparisons by market area.

13           For our analysis, we compared MA HMO rates  
14 reported in 2017 for the 2016 measurement year, with fee-  
15 for-service rates computed with 2015 claims data for 113  
16 metropolitan areas with substantial MA HMO enrollment.  
17 Looking at the relative rates of PSA testing across  
18 metropolitan areas in each sector, we found wide variation  
19 in the rates across market areas in both MA and in fee-for-  
20 service.

21           Consistent with the other analyses of low-value  
22 care, metropolitan areas in Florida had the highest

1 relative rates of non-recommended PSA testing for both MA  
2 and fee-for-service. Miami, for example, had the highest  
3 percentile rank in both MA and fee-for-service for this  
4 measure. Some areas, such as Minneapolis and Albuquerque,  
5 had low relative rates in both MA and fee-for-service,  
6 illustrating that it was often the case that in a given  
7 metro area, community patterns of care were consistent  
8 between MA and fee-for-service.

9           We also found differences among MA plans within  
10 markets. This was particularly true if Kaiser Foundation  
11 Health Plan enrollees were a large segment of the MA  
12 enrollment, as in the case of Sacramento, California.  
13 Sacramento performs very well in MA relative to other  
14 markets because a large share of the enrollment is in the  
15 Kaiser plan, with very low rates of PSA testing. For the  
16 other HMOs with enrollees in Sacramento, the PSA rates were  
17 more in the range of the fee-for-service rates for the  
18 Sacramento area.

19           Our findings do not allow us to conclude whether  
20 or not MA HMO rates have an influence on PSA testing rates  
21 in fee-for-service; that is, whether there is spillover  
22 from MA to fee-for-service.

1           The case of Miami and Minneapolis show that what  
2 can be concluded is that the community patterns of care are  
3 consistent across MA and fee-for-service in those markets.

4           MR. WINTER: So now we're going to switch gears  
5 and talk about three case studies of potentially low-value  
6 services. We refer to them as potentially low value  
7 because there is uncertainty about their clinical benefits,  
8 but they have not been labeled as low-value services by the  
9 studies that we cited in our paper. And in addition,  
10 Commissioners have expressed interest in examining these  
11 three services in the past.

12           Our first case study is early initiation of  
13 dialysis.  
14 The number of early starts of dialysis, or dialysis starts  
15 for individuals with higher levels of kidney function,  
16 increased from 13 percent in 1996 to 44 percent in 2010.  
17 Since 2011, there has been a slight decline in early  
18 dialysis starts.

19           The increase in early starts was due to a several  
20 factors, including early observational research findings  
21 and clinical guidelines advocating for earlier dialysis  
22 initiation.

1           Recent studies, including the only randomized  
2 controlled trial examining dialysis start times, have  
3 indicated that early initiation is not better for patients  
4 and in some cases may actually be worse.

5           The release of this comparative clinical  
6 effectiveness evidence has been linked to the slight  
7 decline in early starts. Not only do early starts appear  
8 to not benefit most patients, but they're also extremely  
9 costly.

10           For the Medicare program, rough estimates of the  
11 cost of dialysis treatments associated with early  
12 initiation ranged from \$500 million to \$1.4 billion in  
13 2016.

14           The second case study is proton beam therapy.  
15 This was initially used for rare adult and pediatric  
16 cancers, but its use has recently expanded to more common  
17 cancers, such as prostate and lung cancer. However, there  
18 is a lack of evidence that it offers a clinical advantage  
19 over alternative treatments for the more common types of  
20 cancer. Nevertheless, the number of proton beam centers in  
21 the U.S. has increased rapidly since 2009.

22           Each center is expensive to construct. A large

1 facility typically costs between 150- and \$200 million.

2 Medicare payment rates for proton beam therapy  
3 are much higher than for other types of radiation therapy.  
4 In addition, Medicare has few coverage restrictions on this  
5 treatment.

6 Volume and spending for proton beam therapy in  
7 Medicare more than doubled from 2010 to 2016. Spending  
8 grew from \$47 million to \$115 million, and volume increased  
9 from 47,000 treatment sessions to 109,000 sessions.

10 The most common condition treated by proton beam  
11 therapy in Medicare is prostate cancer, which accounted for  
12 almost half of total spending and volume.

13 The third case study is HP Acthar Gel. Acthar is  
14 an injectable biologic that is indicated for treatment of  
15 infantile spasms and eight other immunologic conditions,  
16 such as exacerbations of multiple sclerosis in adults.

17 When the drug was approved in 1952, the FDA did  
18 not require clinical trials to demonstrate its  
19 effectiveness. There is a lack of strong evidence that it  
20 is effective for adult conditions, and there are cheaper,  
21 effective alternatives, such as corticosteroids.

22 Even though Acthar has been on the market since

1 1952, its price increased rapidly after 2001, when it was  
2 acquired by Questcor. The average price per vial grew from  
3 \$748 in 2001 to about \$34,000 in 2014.

4 In 2014, Acthar was acquired by another  
5 manufacturer, Mallinkrodt, which further raised its price  
6 per vial to \$38,000 by 2017.

7 Manufacturers have been able to increase prices  
8 for Acthar in part because there is no generic version,  
9 although another company is currently developing one.

10 As of 2017, most Part D plans did not include  
11 Acthar on their formularies. Those plans that did include  
12 it required prior authorization.

13 Nevertheless, gross payments for Acthar under  
14 Part D rose from \$49 million in 2011 to \$504 million in  
15 2015. In 2015, fewer than 2,000 clinicians prescribed this  
16 drug to about 3,100 beneficiaries. And spending per  
17 beneficiary was \$162,000.

18 We used data from open payments to examine the  
19 financial relationships between the prescribers of Acthar  
20 and the drug's manufacturer, and we found that 71 percent  
21 of the prescribers received non-research payments from the  
22 manufacturer related to Acthar in 2015. And there is more

1 information about these relationships in your paper.

2           Now we're going to describe five policy tools  
3 that Medicare might consider using to address low-value  
4 care, which are listed here.

5           The first tool is prior authorization, under  
6 which a provider must obtain approval from a plan or payer  
7 for a product or service before delivering it.

8           CMS has tested prior authorization in the three  
9 demonstrations listed here. They target items or services  
10 subject to fraud, unnecessary use, or improper payments,  
11 and all of these demos have produced savings.

12           CMS has also launched a national prior auth  
13 process for DME, which so far applies to two power  
14 wheelchair products. In this context, it's also worth  
15 noting a prior Commission recommendation that CMS require  
16 prior auth for clinicians who use substantially more  
17 advanced imaging services than their peers to ensure that  
18 they are used appropriately.

19           And this recommendation has not been adopted.

20           The second tool is clinician decision support and  
21 provider education. There is evidence in the literature  
22 that decision support and provider education and feedback

1 can reduce the inappropriate use of antibiotics. One study  
2 found that these techniques reduced inappropriate  
3 prescribing of antibiotics by 16 to 18 percent.

4 CMS has been developing a program that will  
5 require clinicians who order advanced imaging studies to  
6 consult with decision support software and obtain feedback  
7 on whether the study is consistent with appropriate use  
8 criteria.

9 In general, if Medicare is going to mandate the  
10 use of clinical decision support systems, an important  
11 issue to consider is that clinical guidelines, which are  
12 the basis of these systems, are sometimes in conflict with  
13 each other.

14 The third tool is altering beneficiary cost  
15 sharing.

16 Reducing cost sharing should encourage the use of high-  
17 value services, while increasing cost sharing should  
18 discourage use of low-value services.

19 In 2012, the Commission recommended that Congress  
20 give the Secretary the authority to alter or eliminate cost  
21 sharing based on evidence of the value of services.

22 CMS does not currently increase cost sharing for



1 low-value services in Medicare, but outside of Medicare,  
2 some plans and payers adjust cost sharing based on evidence  
3 of a service's clinical benefit. For example, a large  
4 public employer in Oregon created a program that increased  
5 cost sharing for services that were deemed to be low value,  
6 such as sleep studies, advanced imaging, and surgery for  
7 low-back pain. An analysis of this program found that it  
8 significantly reduced the use of these services.

9           The fourth tool is delivery system reform and use  
10 of new payment models, such as ACOs.

11           ACOs take responsibility for the cost and quality  
12 of care for a group of patients. One way to reduce costs  
13 while maintaining or improving quality is to reduce the use  
14 of low-value care.

15           There is limited evidence that two-sided risk  
16 ACOs, which share in both savings and losses, decrease low-  
17 value services, while other ACOs do not.

18           A study of Medicare Pioneer ACOs, which were a  
19 two-sided risk, found that they had a greater reduction in  
20 volume and spending for low-value care compared with a  
21 control group of other beneficiaries. However, a study of  
22 ACOs in the Medicare Shared Savings Program found that they

1 did not affect the use of low-value care during their first  
2 year of operation. At the time of the evaluation, all of  
3 these ACOs were at one-sided risk.

4           And Nancy will now talk about the next policy  
5 tool.

6           MS. RAY: The last tool is linking evidence on a  
7 service's comparative clinical effectiveness and cost  
8 effectiveness to the coverage and payment processes.

9           Comparative clinical effectiveness evidence  
10 compares the clinical effectiveness of two or more  
11 interventions. Clinical effectiveness evidence is the  
12 foundation for cost effectiveness analysis, which compares  
13 both the costs and clinical effectiveness of two or more  
14 interventions.

15           Fee-for-service Medicare's coverage process  
16 considers, but does not require, comparative clinical  
17 effectiveness evidence. Cost effectiveness evidence is  
18 generally not considered in the coverage process.

19           Medicare's payment policies generally do not  
20 consider whether a new service results in better outcomes  
21 than its alternatives. Indeed, there are cases in which the  
22 payment rate for a new service is higher than its

1 alternative, even when there is no evidence on whether the  
2 new service results in better outcomes.

3           Prior to 2010, there were instances for which  
4 Medicare used comparative clinical effectiveness evidence  
5 to set the payment rate for groups of Part B drugs assigned  
6 to separate billing codes that treated the same condition  
7 and produced the same outcome based on the least costly  
8 drug.

9           As a result of federal court rulings, since 2010,  
10 Medicare no longer pays according to the least costly  
11 alternative for Part B drugs. The OIG concluded that such  
12 a policy resulted in in savings for beneficiaries and  
13 taxpayers and recommended that Medicare apply least costly  
14 alternative policies.

15           Here is an example of linking comparative  
16 clinical effectiveness evidence to payment developed by  
17 researchers. Their proposal would assign a new service to  
18 one of three payment categories based on the availability  
19 of comparative clinical effectiveness evidence.

20           If evidence show that the new service improved  
21 outcomes compared with its relevant alternative, then the  
22 payment rate of the new service would be set according to

1 usual statutory methods.

2           If evidence showed that the new service produced  
3 outcomes that are similar to its relevant alternative, then  
4 the new services payment rate would be set equal to the  
5 treatment alternative.

6           If there was insufficient evidence on the new  
7 service's comparative clinical effectiveness, the  
8 researchers proposed that the new service would be paid at  
9 a rate based on usual statutory methods for the first three  
10 years, at which point Medicare would assess any additional  
11 clinical evidence concerning whether the new service  
12 improves outcomes compared with its alternatives. Based on  
13 this assessment, the new service's payment rate would then  
14 be adjusted accordingly.

15           So this concludes our presentation. This slide  
16 lists all the topics which we have discussed today, last  
17 month, and in September that will be going into the June  
18 report. Please let us know if there is any additional work  
19 on these topics that you would like us to pursue in the  
20 future.

21           DR. CHRISTIANSON: So are there clarifying  
22 questions?

1 DR. REDBERG: Great chapter. I was excited about  
2 the quality improvement chapter. I was falling out of my  
3 chair for this one.

4 [Laughter.]

5 DR. REDBERG: So my question, you had mentioned  
6 on page 71 in the mailing materials about an example of a  
7 previous attempt to use least costly alternatives, but it  
8 wasn't implemented. It was for proton beam therapy. Do  
9 you have any insights into what happened there?

10 MR. WINTER: No. We don't know why it was  
11 withdrawn.

12 DR. REDBERG: Okay. On Slide 16, do we have any  
13 data from the CMS program on advanced imaging and decision  
14 support?

15 MR. WINTER: No. It has not been implemented,  
16 and the current schedule calls for implementation in  
17 January of 2020. It's been subject to many delays. The  
18 initial statute -- this was mandated by PAMA, which was  
19 passed in 2014, and the initial implementation was supposed  
20 to begin I think in 2018. So it's behind schedule.

21 DR. REDBERG: Any insights there? It doesn't  
22 seem like that would be so hard to put in. There are lots

1 of decision support programs.

2 MR. WINTER: Right. CMS has had to go through --  
3 they decided to do most of the process through notice and  
4 comment rulemaking, so they have to go through it step by  
5 step, and each step is a year apart because they're doing  
6 it through the Part B proposed and final rules, which are  
7 once a year. And they've taken -- they've done this very  
8 slowly and methodically, and I can't speak to why it's  
9 taken so long.

10 MS. BUTO: Are they paying for the decision  
11 support software?

12 MR. WINTER: No.

13 MS. BUTO: Okay. So it's up to the individual.

14 MR. WINTER: Correct. Correct. And I think  
15 they've designated -- on their website they've indicated  
16 that a couple of them are free -- yeah, a couple of them --  
17 at least two of them have a free tool available. They've  
18 approved about a dozen clinical decision support systems.

19 DR. REDBERG: And the last, just a comment still  
20 on that slide. Clinical guidelines are sometimes in  
21 conflict with each other, which is confusing. But the  
22 other issue about conflict in clinical guidelines is

1 there's often a lot of conflict of interest on the  
2 guideline panels which is another --

3 MR. WINTER: Right.

4 DR. REDBERG: -- problem with using them for  
5 coverage decisions.

6 DR. CHRISTIANSON: Okay. For clarifying  
7 questions, let's go to David, and then we'll go around --

8 DR. NERENZ: Yeah, just on this point, though,  
9 there was a CMS demo on decision support for imaging  
10 recently; is that correct? That does have results?

11 MR. WINTER: Yes. So that was completed I want  
12 to say a couple of years ago, and they've released the  
13 final report, and it was different in that it was  
14 voluntary. It was a demonstration. It was mandated by  
15 maybe PPACA or prior legislation. And it basically gave  
16 convening groups money to go and enroll physician practices  
17 and provide them with feedback through the use of decision  
18 support. And there were lots of problems and issues with  
19 this model. I can come back to you in the future with more  
20 information about that.

21 One of the issues was that a lot of the orders or  
22 the imaging orders did not fit a clinical scenario in the

1 guidelines, so there wasn't a clear match. And so a lot of  
2 the requests or orders did not have any feedback, you know,  
3 based on the guidelines. That was certainly one of the  
4 issues.

5 DR. CHRISTIANSON: Brian, any clarifying  
6 questions? Kathy? Warner? Okay, Jack, it's you.

7 DR. HOADLEY: So on Slide 13, on the Acthar gel  
8 example, you said there on the slide that most plans did  
9 not cover this drug in 2017, and then you talked about the  
10 spending trend between 2011 and 2015. Do we know whether  
11 the coverage was different in those earlier years?

12 MR. WINTER: Unfortunately, we do not have that  
13 information.

14 DR. HOADLEY: Okay.

15 MR. WINTER: One thing I should say is in the  
16 paper we cited some numbers about what percent of plans  
17 covered Acthar gel in the formulary. They said 6 percent,  
18 or less than 6 percent of stand-alone plans about 25  
19 percent of MAPD plans. These were not enrollment weighted.

20 DR. HOADLEY: Okay.

21 MR. WINTER: So it could be that actually a  
22 higher percentage of the enrollees are in plans that that's



1 covered on the formulary.

2 DR. HOADLEY: I mean, your third bullet says  
3 there's only 3,000 beneficiaries, so it would be  
4 interesting to know if keeping it off the formulary  
5 prevented that from being even larger and/or if a lot of  
6 these might have been done under exceptions to the  
7 formulary. So there could be a few things you could do to  
8 fine-tune this, but I realize there are data limitations.

9 MR. WINTER: Yeah, and once we get the 2017 and  
10 2018 data, we can start to look at what impact the  
11 formulary decisions might be having.

12 DR. HOADLEY: It is possible to go back and get  
13 the formulary coverage from earlier years. It's just not  
14 as straightforward to do.

15 DR. CHRISTIANSON: Amy.

16 MS. BRICKER: I thought also it was very  
17 interesting. I imagined Rita reading the chapter with this  
18 smile that just -- you know. Is that how you were reading  
19 it?

20 [Laughter.]

21 MS. BRICKER: That's not my question.

22 DR. CHRISTIANSON: It's clarifying, though.

1 MS. BRICKER: So when you looked at the policy  
2 tools that were on Slide 14 and thinking then of the  
3 excellent example you gave of Acthar gel, which -- in,  
4 again, the construct of Part D, which of those policy tools  
5 would be applicable in a Part D setting?

6 MR. WINTER: So one thing we put in the paper is  
7 that those plans, Part D plans that do have Acthar on their  
8 formularies, they all require prior authorization, so that  
9 covers the first tool. And it seems like there's still  
10 lots of -- still a big increase in spending and volume.  
11 One thing that perhaps could be thought about is under the  
12 last tool on the slide is least costly alternative. So if  
13 Acthar costs \$38,000 per vial but a comparable  
14 corticosteroid costs much less, perhaps the price could be  
15 set based on the cheaper corticosteroid.

16 MS. BRICKER: Okay. I can't wait for Round 2.  
17 Thanks.

18 DR. CHRISTIANSON: Well, you don't have to wait  
19 long, apparently.

20 So, Rita and Kathy, on this, too? Okay. Rita,  
21 why don't you start? Then we'll go to Kathy and then open  
22 it up.

1 DR. REDBERG: Thanks very much, and thanks for  
2 this work. I thought you chose some really good examples  
3 because they illustrate, you know, different aspects.  
4 There's so many ways to have low-value care unfortunately  
5 in Medicare, and we spend a lot of money -- I think these  
6 are very conservative estimates, but the numbers you gave -  
7 - and that's fine to have conservative -- but still, you  
8 know, 37 percent or something of Medicare beneficiaries get  
9 low-value service. Remember, low value doesn't just mean  
10 low value. It means -- I mean, to me, it's a lose-lose  
11 because it's a lot of money, but you're also talking about  
12 doing things that are hurting our beneficiaries. A lot of  
13 these -- we're not going to get into it right now, but we  
14 don't track adverse events or harms very well. But there  
15 are a lot of harms that come, and you know if you have a  
16 therapy that has little or no chance of benefit, all you're  
17 left with are harms. So, you know, working on this to me  
18 is really a win-win.

19 The hard parts, though, are that it's so baked  
20 into our system, particularly in fee-for-service, and so,  
21 you know, of the choices that you gave us, I think prior  
22 authorization, as you saw, highly motivated, it's not

1 necessarily -- it'll deter some use, but not really get rid  
2 of low-value use.

3           The coverage decisions, again, that's tough  
4 because we've -- there's not often a lot of connection  
5 between evidence and coverage decisions in Medicare, and  
6 Medicare is often in the position of paying and then paying  
7 quite a lot, like proton beam therapy, your example. I  
8 mean, proton beam therapy, it is really an illustration of  
9 so many problems because, first of all, as you talked  
10 about, PSA, you know, the task force already said there's  
11 really no evidence that any of the PSA testing is leading  
12 to benefit, and that maybe they're refining the age group.  
13 There was a big trial, the PLCO, that still didn't show a  
14 benefit on prostate cancer. So you're already talking  
15 about -- but Medicare pays, of course, for PSA, and all  
16 those groups, even though it's not recommended by the task  
17 force.

18           And then once you get the PSA, then you go on to  
19 get testing and treatments, and so they're not helpful  
20 because the studies all show you would have been just as  
21 well off without them. And then Medicare pays -- I mean,  
22 why does Medicare pay a lot of money for proton -- why does

1 it pay anything, you know, if we're looking at sort of  
2 reference pricing or least costly alternative, but having  
3 these very high prices when there has never been any  
4 studies showing a benefit for proton beam therapy in  
5 prostate cancer. And yet, of course, you see -- I mean,  
6 when I leave here and I take the train up to New York, I  
7 see that big -- and I went to the University of  
8 Pennsylvania Medical School. I think it's a fine  
9 institution. But there's that big proton beam therapy.  
10 And, you know, Zeke Emanuel, who's on their faculty, had  
11 that big op-ed in the New York Times about how proton beam  
12 therapy should not be commercialized because there wasn't -  
13 - it's not being commercialized for pediatric cancer. It's  
14 being commercialized because Medicare is paying a lot of  
15 money for it. And that would be okay if there was evidence  
16 of benefit, but there is none.

17           And then the Acthar gel, you know, gets into  
18 another issue. So they may have cancer screening, you  
19 know, inappropriate cancer screening that Medicare pays for  
20 that leads to more low-value care. And then we have the  
21 FDA, which has pretty lax standards for a lot of approvals  
22 of drugs and devices, and certainly Acthar gel -- I mean,

1 the only study that has been done was for infantile spasm,  
2 but Medicare's paying a billion dollars. So those other  
3 indications for possible multiple sclerosis, they're based  
4 on no studies, and I think they came much later. They  
5 weren't from 1952.

6           And then you have all of the problems that we've  
7 also talked about that there is no controls on drug pricing  
8 at all. So the company, you know, just picks numbers, it  
9 seems, out of the air. There's no R&D cost for this drug.  
10 It's been around forever. There's any of the usual reasons  
11 for -- and it has that funny history with the orphan drug  
12 use, and it got protection for seven years.

13           So those are all the problems. You know, I think  
14 we've talked before, alternative payment models, you know,  
15 where we're looking at population measures, you know, like  
16 we talked about at the last session, I think would also  
17 help to decrease low-value care because if you were  
18 thinking about, you know, readmission, mortality, patient  
19 satisfaction, and cost, it's a lot harder to be spending  
20 this kind of money on drugs that aren't helping people.

21           So I think it ties in nicely with the last list,  
22 and the idea -- the last thing I'll say is that coverage

1 with evidence development, I think -- and you gave some  
2 examples -- is a good model. It's just important that we  
3 actually use the evidence, you know, look at it, collect  
4 the data, and use it to feedback into the coverage system.

5 I think now there's a lot of interest in getting  
6 medical devices on the market more quickly and then using  
7 postmarket data. But that essentially means shifting the  
8 costs for clinical research from the device companies to  
9 Medicare, because once they're on the market, that means  
10 Medicare's going to pay for them, and then we're going to  
11 look and see were they any good. And then, again, we still  
12 don't have very good systems or a track record in  
13 collecting that data and acting on it, you know, to expand  
14 or to -- I've never seen us withdraw or restrict coverage.

15 So thanks. I think that this is a really  
16 important area to work in, but I think we have to really  
17 think innovatively about sort of alternative payment models  
18 which don't -- and getting away from fee-for-service to  
19 really make inroads in it. I'm sure Kathy will have some  
20 good ideas.

21 MS. BUTO: Yeah, I'm just thinking, if Craig is  
22 Mr. MA Encounter Data, you would be Dr. Low Value Care --

1 well, he's Dr. MA Encounter Data; you'll be Dr. Low Value  
2 Care, get it out of Medicare.

3           So I looked at -- I have to say this. I was very  
4 excited about this chapter because I thought you did both  
5 things you set out to do. One was to do a really good job  
6 of explaining the coverage process, which is very  
7 complicated and has many parts to it, and many people do  
8 not understand it. Secondly, your treatment of low-value  
9 care was, I thought, excellent, and the examples were  
10 illuminating and helpful to thinking about what do we do  
11 going forward.

12           I have to say it reminded me how very few  
13 national coverage decisions there really are. I think you  
14 said 4 to 17 per year. We used to say 12. You know, that  
15 was 20 years ago, so it hasn't changed much.

16           The greatest leverage that Medicare has on new  
17 technologies is at the beginning when they're first  
18 covered, and that's because it doesn't go back and revisit  
19 coverage. So I'll come back to that in a second. But the  
20 decisions that are generally made are cover, don't cover --  
21 and there aren't very many of the don't cover's done --  
22 cover with conditions, or coverage with evidence



1 development. And those last two are done fairly rarely, so  
2 there are very few caveats around coverage. And for many  
3 procedures, drugs, devices, the initial coverage is sort of  
4 the barn door you go through, and then it's whatever uses  
5 the medical system thinks are appropriate.

6           You know, the view we used to have at the time we  
7 were looking at coverage for regulations was you need to  
8 have flexibility because, actually, you don't know how  
9 useful some procedures, technologies, drugs are over time  
10 and they may evolve. But in exchange, Medicare never asked  
11 for data and rarely revisited coverage.

12           So I bring all this up because getting to the  
13 issue of low-value care, the menu of approaches that you  
14 laid out I thought were very helpful. They mostly were not  
15 coverage related. They were mostly -- so prior  
16 authorization, patient cost sharing, and -- et cetera.  
17 Let's see, oh, decision support tools, those are all  
18 important.

19           I think the reason for that is that it's very  
20 hard to know at the beginning of coverage that something's  
21 going to be low value. It may be covered for something  
22 narrow for which it has high value, and then it spreads.

1 So it's important to have those kinds of tools once  
2 technologies/procedures are covered to be able to actually  
3 monitor what's going on and assess.

4           What I would suggest is that part of the  
5 conversation needs to be about revisiting coverage after a  
6 certain amount of time. That I think will prompt  
7 additional evidence development. Whether it's done at the  
8 beginning where the agency says we're not going to cover  
9 this unless you give us more evidence, or whether it's done  
10 on an ongoing basis, if you're going to go back and revisit  
11 -- and I think you suggested in your last slide something  
12 like that, a three-year window for going back and looking,  
13 there will be greater evidence development. That's part of  
14 what's missing. But part of what's missing is the  
15 intentional revisiting of coverage by the agency.

16           The other thing I would say is there is -- the  
17 issue of the -- what is the name of the drug? -- Acthar  
18 drug is as a Part D drug, and I think this is another  
19 aspect of coverage that needs to be looked at, which is I  
20 think CMS takes the view -- I believe this is the view --  
21 that they don't really look over the shoulder of Part D  
22 plans on coverage issues, that once the -- the rule is once

1 it's FDA approved, it's automatically covered for the  
2 labeled indication, and the rest is discretion. And I  
3 think the discretion is entirely left to Part D.

4           So one of the things that I think we could do is  
5 think about whether CMS ought to play a greater role in  
6 guiding that decisionmaking process. I don't think they  
7 necessarily have the authority to go in and actually do the  
8 assessment. But I think that's an area -- a gray area  
9 where Part D plans and CMS are not really interacting. So  
10 this to me was an illustration of that because, you know,  
11 they're using the tools they have, but really CMS should be  
12 doing something as well.

13           And then on PSA testing, it made me wonder why  
14 there isn't a non-coverage decision for patients over 70  
15 unless they're symptomatic or, you know, whatever the  
16 exceptions are. There are very few non-coverage decisions.  
17 So if we're talking about coverage, there are tools. I  
18 just feel like there's an opportunity for us to take a look  
19 at whether we would advise CMS to take a look at using more  
20 of those tools more aggressively.

21           And on things like decision support, that's not  
22 just for low-value care. It just strikes me -- we've been

1 talking about opioid use and other things. There's some  
2 opportunities there where decision support would be really  
3 welcome, I think, by the physician community.

4 DR. CROSSON: Thank you, Rita and Kathy.

5 Further discussion. Let's start over here with  
6 Amy.

7 MS. BRICKER: Thanks again. Just a few points in  
8 response to a few things that Kathy said. So I don't --  
9 you noted that you didn't have formulary status for prior  
10 years. In our experience, it doesn't really matter. It  
11 doesn't matter because when you think about -- and I'm just  
12 going to speak from my company's experience -- in the  
13 commercial space we have P&T committees that look at drug  
14 from a clinical perspective, and in the commercial space,  
15 if a plan chooses to cover it, it's covered for infantile  
16 spasms and it's covered, in some cases, for exacerbations  
17 of MS. That's it.

18 The FDA has granted a litany of other indications  
19 for this drug, and, as noted in your chapter, the drug  
20 never had to show that it was efficacious for any of those  
21 indications. CMS says that if it's approved, the  
22 indication is approved, it is, therefore, covered. So it's

1 actually hurting plans' ability to manage this type of  
2 drug, that has this, you know, grandfathered sort of FDA  
3 designation.

4           So it's a bit of an anomaly. It's ridiculous  
5 that orphan status was given to it. I understand why.  
6 Infantile spasms is, you know, there wasn't necessarily an  
7 alternative, so to protect the drug for that purpose,  
8 understand. But under that guise it was protected and,  
9 therefore, you know, for an extensive period of time, even  
10 though it had been on the market forever and ever and ever.

11           So I think we need to do more, from a Part D  
12 perspective, to allow plans to manage drug coverage more  
13 aggressive, and in line with the commercial space. CMS has  
14 handcuffed them. That's why you see the spend, even though  
15 the status, the formulary status is the way that it is.

16           The recommendation to pay -- the example you used  
17 was to pay the same as like a corticosteroid. So the  
18 reason it doesn't work -- it won't work that way is the  
19 drug -- let's say the list price is \$37,000. If I pay the  
20 pharmacy \$100 I might as well just not cover the drug. I  
21 can't -- they can't buy it for \$100. So to just say, well,  
22 that's how we'll manage it, just say it's not covered,

1 because essentially there's nobody that's going to dispense  
2 the \$37,000 drug for \$100.

3           Whereas in other conversations we've had around  
4 how do you encourage biosimilar use, how do you encourage  
5 generics in a multi-source brand space, well, you just, you  
6 know, pay -- you incent them by saying you have an  
7 alternative within that drug that is a therapeutic  
8 equivalent, that you could choose the lower-cost drug.  
9 This is a different scenario and it just won't work because  
10 the pharmacy is the dispenser, whereas in, like, a B space,  
11 the doctor as the prescriber, is the dispenser and can make  
12 these decisions. The pharmacy will just turn the script  
13 away. So then you have a patient sitting there going,  
14 "This prescription for Acthar, no one is going to  
15 dispense." So we're putting the bene in the middle and it  
16 won't work.

17           So we've got to think, I think, more about how to  
18 actually allow the plans to have greater control, have  
19 greater discretion over coverage decision, and so I think  
20 an additional policy option that's not listed here, from my  
21 perspective, needs to be considered. Thanks.

22           DR. CROSSON: Thank you, Amy. Jack.

1 DR. HOADLEY: So I think there's really a lot of  
2 really useful stuff in this chapter, just as a starting  
3 point. I think I'm really glad you brought all this  
4 material to us.

5 You know, I've been trying to think about these  
6 policy tools as well, and it feels like a lot of the  
7 challenge we face is that, you know, while there may be  
8 some services, including, you know, maybe the protime being  
9 the case, where the evidence is, like, absolutely lacking  
10 of effectiveness, that would lend itself to one set of  
11 solutions, potentially. But in a lot of the cases we talk  
12 about low-value care there's a, well, it depends on the age  
13 of the patient. It depends on the clinical thing. Even  
14 the Acthar gel, you know, if it's useful for -- if we  
15 actually had evidence that it's useful for these one or two  
16 conditions but not these other things, which are based on  
17 more anecdotal evidence, then you get that problem of the  
18 tools become blunt instruments.

19 So you do prior authorization. You create --  
20 even if it works, and, you know, we can debate that. But  
21 at best, you put a situation where the patient, who  
22 legitimately needs that service or that drug, has a lot of

1 hoops to go through, has a lot of hassle to deal with. The  
2 persistent person, for the inappropriate use, may actually  
3 be as likely to get it because they just used more  
4 persistence. You could go through the same thing, the  
5 decision support things, again.

6           A lot of it is trying to sort out and get  
7 direction to which patient is it appropriate for, which one  
8 is it not. And, you know, it's a nice concept but how do  
9 you do it in practice without, again, creating a lot of  
10 barriers for the patient who legitimately needs it.

11           The example of the reference cost, you know, that  
12 Amy was just using, you know, is a dramatic example of  
13 that. So, you know, maybe that a good approach, but at  
14 some point it's not a realistic approach if it's a \$37,000  
15 cost versus \$100. You come up with a way to say, "Well, if  
16 it's the patient that really needs it then they can get it  
17 for the \$100," but you're back in that same thing of how do  
18 you adjudicate that?

19           And I think that's the thing that we really  
20 should try to think more about, is one thing for the  
21 situations where maybe there's no evidence that it's ever  
22 useful, and then you're talking about a coverage decision.



1 But most of the rest of these things, things are going to  
2 be covered. The question is for whom and in what  
3 circumstances, and there's lots of examples that I know in  
4 the drug area where, I mean, the PCSK9 drugs for  
5 cholesterol were, at least when they first came on the  
6 market, for a very specific subset of patients, but there  
7 was some potential to have them prescribed for a much  
8 broader set of patients, at a price tag that, you know, 100  
9 times or more the price of other cholesterol drugs.

10 MS. BRICKER: \$14,000.

11 DR. HOADLEY: Yeah, versus, you know, you can get  
12 Lipitor for \$20 or something, the generic version.

13 So I think that's trying to think through how  
14 these tools, or are there other tools that couple apply to  
15 sort of bridge that gap between how to maintain the access  
16 in the appropriate cases versus trying to restrict use in  
17 the inappropriate cases.

18 The only other specific thing I'll mention, on  
19 the clinical support, somebody mentioned a minute ago the  
20 opiates, and I know there are some tools. I recently was  
21 on a panel that reviewed and approved funding for a new  
22 study of behavior economic sort of tools for opiate

1 prescribing. So, you know, build into the EHR a default of  
2 a very small number of pills rather than the sort of  
3 standard 30-day supply that would often be the default  
4 prescription. Clinicians could then go in and override it  
5 with the appropriate conditions. But at least that tries  
6 to build in the presumption, the easy answer.

7           And, you know, you could think about that in  
8 terms of things like the prior authorization, how to make  
9 it easy for, you know, appropriately prescribing four  
10 opiate pills for somebody to go home with after a surgery  
11 but not a 30-day supply that realistically they shouldn't  
12 be having, and probably won't use if they're responsible,  
13 and then it becomes pills that sit in the house, and all  
14 those other kinds of problems that go from it. And I think  
15 using some of those tools can be helpful but they still  
16 don't go at this first-order problem that I raised. Thank  
17 you.

18           DR. CROSSON: Thank you, Jack. Alice.

19           DR. COOMBS: First of all, thank you very much.  
20 The chapter was, I think, a real improvement in terms of  
21 being able to bring up issues on both sides of the coin,  
22 especially the discussion around PSA. As we mentioned

1 before, there are certain subsets, and I think it was well  
2 done in terms of that.

3 I'd like to revisit what Kathy said about a  
4 redetermination of coverage consideration. But I think in  
5 that you have to have a specific target of what you want to  
6 do when you say that you want to evaluate reconsideration  
7 of coverage. And so it's almost like there needs to be  
8 some criteria for which you would recommend that this is  
9 one of the things that we need to do, and it has to be  
10 squeaky clean.

11 One of the things, the cases, the examples that  
12 are used in the chapter is the early dialysis, and I just  
13 want to speak to that for one second. So I guess on page -  
14 - well, I'll get to that comment later. But in talking to  
15 many of the people who are in nephrology, they might  
16 dialyze someone earlier because the protein intake is so  
17 minimal that the patient experiences cachexia and failure  
18 to thrive because they're cutting back on their protein to  
19 stay off of dialysis, so that in doing that they become  
20 listless and fatigued, and some of them are working, with  
21 marginal CKD, and with that they become disabled.

22 And so one of the things that's a consideration

1 in this weight is, do I dialyze and allow this person to  
2 become functional -- continually go to work and get their  
3 Monday, Wednesday, Friday dialysis, or Tuesday, Thursday,  
4 Saturday? And so that's another piece of this, is that  
5 they'll make that decision.

6           The other decision is when you have fluid  
7 overload. You might have fluid overload and not be in full  
8 failure, and those patients, it's been shown that the  
9 mortality is very high if you postpone dialysis, even with  
10 the same GFR.

11           So when we consider what's -- if you just base  
12 early dialysis on GFR alone, that's kind of like a gray  
13 zone for many patients. So I just wanted to caution us to  
14 be careful in that, in that we clear-cut issues like  
15 protein B. I think we're probably right in the right  
16 chapter there, in terms of doing things like that. But you  
17 have to consider all the overall effect. The net effect of  
18 a group of physicians saying that "we're going to postpone  
19 dialysis until the creatinine gets to 5," then you might  
20 have that patient in a very precarious state in terms of  
21 their physical conditioning, to the point where they're now  
22 disabled, and the cost to the Medicare system is far

1 greater. So I think that's a piece of this.

2           And then the distribution of low-value care,  
3 geographically. I think that the states that you guys have  
4 outlined, it's phenomenal, because like close to the stroke  
5 belt, close to the crescent of what we call racial and  
6 ethnic disparities, it's, you know, go down on the East  
7 Coast south, down to the deep Mississippi, and so forth.  
8 And so they kind of follow the disparities and disparate  
9 groups in this country. And it's just interesting, if you  
10 were to do a map and overlap it.

11           And so in terms of the policy tools that you use  
12 for that, a lot of it is going to involve decision support,  
13 but I almost think that it should be shared decision. And  
14 in the instance of dialysis, patients are well educated in  
15 terms of knowing a friend or something like that. You ask  
16 them, "It's time for you to go on dialysis," they're not  
17 going to let you strap them to a chair for four hours and  
18 say, "I want to go on early dialysis." That ain't  
19 happening.

20           So that, you know, going on dialysis is a big  
21 thing, and so part of that is a shared decision-making.  
22 But it's almost like you need decision support tools, and

1 you need shared decision-making, and I think that's very  
2 different than just having an isolated icon that pops up  
3 and says, "Did you work up a patient for this?" And I  
4 think that's a very different kind of approach.

5           My greatest faith is in health care system reform  
6 and episode of care, because the endpoint will be that  
7 you're looking for an efficient system that has these  
8 quality measures that are on par with what you do in terms  
9 of being accountable for the financial piece of caring for  
10 patients.

11           And so I think that's very important, absolute  
12 things, also with the Schwartz study, in terms of we talked  
13 about this broad and narrow interpretation. One of the  
14 questions is, how did they risk-adjust for that? Did they  
15 do ACC -- and I didn't know if we depended on that -- or  
16 did they use some other risk factors, including how did  
17 they control for socioeconomic status in that study? So  
18 that would be an important piece for me.

19           MS. WINTER: Just to clarify, which study are you  
20 referring to?

21           DR. COOMBS: The Schwartz study.

22           MS. WINTER: The Schwartz study. Okay. The one

1 here on Slide 18, the Pioneer ACO?

2 DR. COOMBS: Mm-hmm.

3 MS. WINTER: Okay. Yeah. We'll look into that.

4 I can get back to you on that. We'll get a little more  
5 information in the chapter.

6 DR. COOMBS: Okay. Okay. And a lot of the  
7 information that we're getting is on claims, and so claims  
8 don't tell you a lot. If you're talking about racial  
9 identification, the claims are going to be self-identified,  
10 and there's this large pie on the circle that says "other,"  
11 and the other is the fact that the patient didn't report,  
12 if it's self-reporting. So you may not use racial as a  
13 proxy for socioeconomic status in that situation, so it's  
14 going to be very hard to get that kind of information and  
15 determine how the impact of socioeconomic status -- what it  
16 has on low-value care.

17 So I just wanted to touch on those things.

18 DR. MATHEWS: Alice, if I could ask one  
19 clarifying question. A few minutes ago, in response to  
20 Kathy's point about the need for reconsideration of  
21 coverage or the need to revisit coverage decisions on an  
22 ongoing basis, you said that ideally you would need

1 criteria in order to make those kinds of decisions, what  
2 you were going to look at and the evidence that supported  
3 why you wanted to revisit a decision.

4           Just for my own education, does such criteria  
5 exist or do they need to be developed?

6           DR. COOMBS: They would need to be developed,  
7 because some might say this is a new drug on the market and  
8 there's no competitor, and then you might consider what the  
9 landscape of health care looks like, in terms of the  
10 importance of that, and also in terms of the disease  
11 process, if there is a prevalence. It's like treating  
12 people with Tamiflu if there's epidemics.

13           So you have to consider a whole gamut of changing  
14 things within the environment, including the disease  
15 prevalence and what has happened with whatever the disease  
16 is that we're talking about.

17           But, no, there are not existing criteria that I  
18 know of. It has been proposed that we should consider  
19 that, especially when there are very costly drugs that are  
20 being released.

21           DR. MATHEWS: Yeah, understood, and it's just a  
22 very intriguing point with respect to, you know, future



1 work that the Commission might do here.

2 DR. CROSSON: Warner.

3 MR. THOMAS: Just one other thing I would  
4 recommend we think about in the chapter, or maybe in a  
5 different recommendation, is it seems like we ought to try  
6 to have the ACO models engaged in trying to take this issue  
7 on directly, if they've got the right incentive. Could we  
8 provide benchmarking for them about how they do against  
9 each other, or how they basically compare to, you know, the  
10 averages across the Medicare system?

11 I think the other thing that would be helpful is  
12 to potentially take some of these areas and challenge CMMI  
13 to take them on as specific project that could essentially  
14 a challenge to put out to folks that want to have CMMI  
15 grants. So I think we could use this information to inform  
16 other things that we want to do.

17 I agree with, you know, several of the  
18 recommendations up there around trying to -- especially  
19 Kathy's -- about going back and having a look-back on, you  
20 know, whether something should be covered or not. But I  
21 think there are ways that we could certainly use the ACO  
22 model, and especially downside risk ACOs that would

1 probably take these on and, I believe, probably have an  
2 impact.

3 DR. CROSSON: Okay. So we'll come up this way.  
4 Dana.

5 DR. SAFRAN: Yeah. Not a lot to add. I really  
6 like Kathy's idea of continuing to look back, or starting  
7 to look back, to look at evidence, and to just connect the  
8 dots back to our earlier conversation about Medicare  
9 Advantage and encounter data.

10 One might hypothesize that use of prostate beam  
11 therapy for prostate cancer would be much less in MA than  
12 it's been in fee-for-service Medicare, and if we have the  
13 data to look at it, it would be really interesting to look  
14 at -- first of all, to answer that question, and then if  
15 it's true, to look at survival rates or other outcomes for  
16 prostate cancer in the two different systems, just, you  
17 know, as one interesting piece of evidence, since you made  
18 it clear that, you know, years are going by and we still  
19 have no evidence on whether this therapy does make any  
20 difference.

21 DR. CROSSON: Sue.

22 MS. THOMPSON: I just want to take this

1 opportunity to thank the three of you for this chapter and  
2 this work. We are spending a lot of money, and at the risk  
3 of restating what's already been said, in addition to the  
4 resources that are consumed, the potential for harm to the  
5 Medicare beneficiary, I just don't want that to get lost in  
6 all of this discussion. I just think it's work we need to  
7 continue to focus on.

8 I would underscore the recommendation Warner made  
9 about ACOs being very willing to take this on. I think  
10 it's a great topic, you know, to think about CMMI taking on  
11 again as another demonstration. But even without, I think  
12 ACO's downside risk, ACOs in particular are quite  
13 intrigued.

14 So I just thank you for that, and I also just  
15 want to call out -- you know, I think, as Rita leaves the  
16 Commission, the attention that Rita Redberg has drawn to  
17 low-value care is so important to the Medicare program, to  
18 Medicare beneficiaries, and I just want to thank you for  
19 that, Rita.

20 DR. CROSSON: Second the motion. Paul, last  
21 comment.

22 DR. GINSBURG: Last comment. You know, when I

1 look at these policy tools, with the exception of delivery  
2 system reform, new payment models, which presumably is  
3 something we're in favor of anyway, I don't see -- I think  
4 all of the other policy tools have merits to them. I don't  
5 expect any of them to be anywhere near as effective as the  
6 magnitude of the problem.

7           I do think what Kathy suggested is a whole new  
8 approach to coverage. I think I would call it an initial  
9 grants of coverage as provisional, you know, that CMS, when  
10 it has a new procedure or drug, it's coverage is  
11 provisional for a certain length of time, and then which  
12 would force them to revisit it, based on the evidence that  
13 they have at that time. If there's no evidence, the  
14 coverage end. If there's positive evidence, it proceeds.

15           But it's a very frustrating area, because it's so  
16 hard to get effective policies to address it. And I think  
17 the main motivation I get from this is to double down on  
18 the delivery system reform payment.

19           DR. CROSSON: And, Paul, I would assume, going  
20 back to some of the classification that Jack made, that  
21 provisional coverage could be rather specific or it could  
22 be broad, but then it could be subsequent to evidence,

1 narrowed, or removed entirely.

2 DR. GINSBURG: Yes. Just trying to think of  
3 something that gets to the coverage issue back in front of  
4 the decision-makers, as opposed to -- because otherwise  
5 it's not going to be stacked very well and very little will  
6 be done unless it's actually -- something has to be done or  
7 else the coverage ends. And, yeah, I think it can be  
8 specified that coverage is for certain indications. If  
9 there are new indications they have to come forward to get  
10 coverage approval for the new indications.

11 DR. CROSSON: Jack and Kathy, did you want to  
12 follow up on that?

13 DR. HOADLEY: I mean, in some ways, the local  
14 coverage decision process maybe has sort of played a little  
15 bit of that role, so something gets approved in one area  
16 but not necessarily another. But they are still not the  
17 sort of automatic "go back and look at it again," any more  
18 than there is inside the FDA process.

19 If post-market gets a drug like Vioxx, a decade  
20 or more ago, that had adverse outcomes, those examples here  
21 and there get pulled from FDA approval at some later point  
22 in time based on post-market surveillance, but it's not

1 routinely done, and a drug that gets approved for one  
2 indication either gets used off label to expand its use or  
3 get used more broadly.

4           You think about some of the thinking at the FDA  
5 more recently of approving drugs more quickly, to get them  
6 to the market more quickly, will exactly lead in the other  
7 direction, we'll say. Okay. Things that aren't at all  
8 well proven, they may be based on interim indicators or  
9 just a limited period of study. They get on the market,  
10 and then, again, the momentum is to keep it there rather  
11 than to get it off.

12           DR. CROSSON: Kathy. Kathy and then Rita for the  
13 last work.

14           MS. BUTO: Yes. Just a cautionary note that if  
15 we load too much on coverage and then revisiting coverage,  
16 there will be fewer and fewer national coverage decisions.  
17 There are already only about 12 or 14, and so I think --  
18 back to Alice's point, I think some thought has to be given  
19 to if we wanted to take this forward. We have to do a lot  
20 more thinking about how would this work in a way that  
21 actually encourages more coverage decisions rather than  
22 discouraging and leaving to the carriers, which is the way

1 it used to be in the early years of Medicare.

2           And then I just wanted to go back to Rita's point  
3 about payment and delivery system reform, and I think Paul  
4 made the same point. That I really think that ultimately,  
5 coverage becomes a lot less critical, except as a  
6 framework, once we're in an MA kind of payment, ACO kind of  
7 payment accountability world, where there is a demand on  
8 the part of the local entity for more accountability and  
9 more evidence. So I'd just point that out because I really  
10 think that back to the earlier point, when payment is  
11 involved, you get a lot more focus and attention, and that  
12 will include evidence as well as service.

13           DR. CROSSON: Last word, Rita.

14           DR. REDBERG: Thanks.

15           I wanted to note in particular -- and Kathy said  
16 it's better to do things at the beginning of the coverage  
17 decision and particularly for things like proton beam  
18 therapy, where you've spent a lot of money on that, people  
19 don't walk it back after making that kind of investment, so  
20 for capital-intensive things, it's especially important.

21           But I also -- I just finished four years chairing  
22 the MEDCAC, and not all national coverage goes through

1 MEDCAC, but most do. And it was one or two a year at most.

2           But CMS also needs a lot more political cover  
3 because, for example, the cardiac CD decision, where there  
4 was clearly -- it was looking at cardiac CT for diagnosis  
5 of coronary disease, clearly no evidence to supports its  
6 benefit. There was a lot of political pressure on CMS not  
7 to issue a non-coverage decision, which would have been the  
8 decision following from that evidence, and so CMS did not  
9 issue any coverage decision. I think you cited that Health  
10 Affairs paper in this chapter that kind of tell that story,  
11 so it went to local carriers. The radiologists and the  
12 cardiologists quickly lobbied all the local carriers, and  
13 within six months after the lack of evidence, everyone was  
14 covering cardiac CT.

15           A few years later, CMS tried to walk back the  
16 coverage because it was just hemorrhaging money for cardiac  
17 CT, but there was no chance. Again, it was a capital  
18 investment.

19           The ICD decision when it got expanded, there was  
20 an ICD registry, which for some reason, now CMS has decided  
21 not to mandate anymore, although they weren't actually  
22 using the data to go back and look at the ICD coverage.



1 But that's the only time I can think of.

2           The point I'm trying to make is that even when  
3 there are restrictions on coverage, CMS doesn't enforce  
4 them. It's left to the carriers, and all the carriers are  
5 mandated or incented to do is to pay claims quickly. So  
6 they don't look at what they're paying for, and there are  
7 lots of ICDs that were clearly against the guidelines.  
8 They were being put in 30 days after an MI, when it showed  
9 that those are increased mortality, and the ICDs were more  
10 likely to kill our beneficiaries.

11           And eventually, because of a whistleblower, there  
12 was a lawsuit, and DOJ recovered some money for CMS, but it  
13 was never because CMS or the carriers went back and looked  
14 at the claims they were paying and noticed that they were  
15 paying claims that they should not have been paying to the  
16 harm of the program and the harm of beneficiaries.

17           And the last thing is the most recent of the lung  
18 cancer screening, MEDCAC, where the Committee voted that  
19 there was a lot of concerns about harms, lack of benefit in  
20 the Medicare population, CMS again had a lot of pressure to  
21 cover lung cancer screening. The task force had already  
22 given it a Grade B, and so they said, "Okay. We'll have it

1 with shared decision-making." Well, I bet you there was no  
2 shared decision-making going on in our Medicare  
3 beneficiaries."

4           The last data that we published in JAMA Internal  
5 Medicine, someone analyzed the national inpatient sample.  
6 There has been certainly an increase in lung cancer  
7 screening, but it's not -- and even smokers, it's in light  
8 smokers, never smokers, people that are not going to  
9 benefit and weren't within the Medicare guidelines.

10           So I think if we want to talk about coverage and  
11 going back in coverage, there has to be an actual mechanism  
12 where CMS has the political cover to actually enforce the  
13 coverage decisions that it makes because it's not happening  
14 now.

15           DR. CROSSON: Okay. Thank you.

16           Thank you, Ariel, Carlos, Nancy. Good material  
17 for us. Good discussion as well.

18           I think we are finished with this session.

19           And we now have time for a public comment period.  
20 If there's anyone in the audience who would like to make a  
21 public comment, please come up to the microphone.

22           DR. JAMESON: Thank you, Mr. Chairman.

1 DR. CROSSON: Let me just let the people clear  
2 out who want to clear out. Nobody wants to clear out? You  
3 must have something incredible here.

4 I would like to make a couple of comments that I  
5 usually make. This is not the best time or the most  
6 effective way of addressing the Commission. The staff  
7 makes itself available on a regular basis for that purpose.  
8 However, it is an opportunity. I would ask you to identify  
9 yourself and your organization, and if you would, limit  
10 your comments to about two minutes. And when this light  
11 comes back on, that would be that two minutes.

12 \* DR. JAMESON: Thank you, Mr. Chairman. I am  
13 Jason Jameson. I'm a urologist from Phoenix, Arizona.  
14 This is my first MedPAC meeting. I'm part of the American  
15 Urologic Association Health Policy Program that they have,  
16 so this has really been great. I've been here all day, and  
17 I'll be back tomorrow.

18 I really appreciated this meeting and all the  
19 work, and I really wanted to just mention about taking  
20 caution in defining what is low quality, which can change  
21 and can have unintended consequences. I appreciate the  
22 comments just spoken about dialysis. Some things just

1 aren't so straightforward to try and make some of these  
2 decisions.

3           I want to just go back to prostate cancer, PSA  
4 screening. We sort of know what it was like in the 1980s  
5 before we had this test, and about 75 percent of men would  
6 present with metastatic disease. So I think it's something  
7 that we shouldn't forget about.

8           Also, we have a lot of very expensive medications  
9 that are currently being used for metastatic prostate  
10 cancer, so one of the fears we have is without any  
11 screening or acknowledging the benefits of screening, that  
12 there will be more advanced disease at a significantly high  
13 cost.

14           As things can change, as evidenced by the USPSTF,  
15 their decision actually, they already are changing their  
16 2018 grading from their 2012 decision where they gave it a  
17 Grade D. So that's just an example of how different things  
18 can change, and I think that you don't want to be in a  
19 position where you're at this committee looking in five or  
20 ten years at the high cost of metastatic prostate cancer.  
21 And there have been some data suggesting that that is  
22 occurring even since the decision in 2012.

1           So I appreciate the chance to just share some of  
2 those comments and urge you to continue this process. I  
3 think it's great to continue to reevaluate all the  
4 decisions that are covered. And I suspect as the PSA, you  
5 will find that it definitely does have value and that the  
6 PLCO trial had about 90 percent contamination rate, and so  
7 that's probably why it didn't show much benefit. But there  
8 have been other studies showing about a 30 to 35 percent  
9 reduction in survival and a significant reduction in  
10 metastatic disease, which was uncommon in the PSA era.

11           Thank you.

12           DR. CROSSON: Thank you. And that concludes our  
13 day, and we will reconvene tomorrow morning at 8:30.

14 Thanks very much.

15           [Whereupon, at 3:44 p.m., the meeting was  
16 recessed, to reconvene at 8:30 a.m. on Friday, April 6,  
17 2018.]

18

19

20

21

22

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom  
Ronald Reagan Building  
International Trade Center  
1300 Pennsylvania Avenue, NW  
Washington, D.C. 20004

Friday, April 6, 2018  
8:32 a.m.

COMMISSIONERS PRESENT:

FRANCIS J. CROSSON, MD, Chair  
JON B. CHRISTIANSON, PhD, Vice Chair  
AMY BRICKER, RPh  
KATHY BUTO, MPA  
ALICE COOMBS, MD  
BRIAN DeBUSK, PhD  
PAUL GINSBURG, PhD  
DAVID GRABOWSKI, PhD  
JACK HOADLEY, PhD  
DAVID NERENZ, PhD  
BRUCE PYENSON, FSA, MAAA  
RITA REDBERG, MD, MSc  
DANA GELB SAFRAN, ScD  
CRAIG SAMITT, MD, MBA  
SUSAN THOMPSON, MS, RN  
PAT WANG, JD

AGENDA	PAGE
Long-term issues confronting Medicare Accountable Care Organizations	
- David Glass, Sydney McClendon, Jeff Stensland.....	3
Managed care plans for dual-eligible beneficiaries	
- Eric Rollins.....	100
Public Comment.....	150

P R O C E E D I N G S

1

2

[8:32 a.m.]

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

DR. CROSSON: Okay. I think it's time to get started. This is the final session of our 2017-2018 term. We have two presentations this morning. The first one is on long-term issues confronting accountable care organizations. David, Sydney, and Jeff are here to present, and Sydney is going to start.

\* MS. McCLENDON: Good morning. In this session we'll be continuing our discussion from January on Medicare's accountable care organizations and discuss potential long-term issues confronting ACOs.

I'll begin today by giving some brief background on Medicare's ACOs and provide an overview on participation across programs in 2018. I'll then walk through changes for Medicare's ACOs that were included in the recently passed Bipartisan Budget Act of 2018. David will then discuss long-term issues for two-sided ACOs and provide some potential topics for your discussion.

As you know, ACOs are groups of health care providers who have agreed to be held accountable for the cost and quality of care for a group of beneficiaries.



1           The goals of Medicare's ACO programs are to  
2 increase provider accountability for their patient  
3 population, increase quality of care and patient  
4 experience, and lower costs. ACOs that are successful in  
5 meeting these goals are rewarded with shared savings.

6           As we discussed in January, there are a few basic  
7 concepts for ACOs.

8           The first is composition. ACOs can be comprised  
9 of whatever health care providers they choose, which could  
10 include primary care providers, hospitals, or specialty  
11 practices.

12           Next is attribution. Beneficiaries are  
13 predominantly attributed to ACOs according to service use.  
14 And starting in 2018, beneficiaries also have the option to  
15 voluntarily align themselves with MSSP ACOs by designating  
16 a primary clinician. Some ACOs have beneficiaries  
17 attributed to them prospectively, at the beginning of the  
18 performance year, while others have beneficiaries  
19 attributed to them retrospectively, at the end of the year.

20           To judge the financial success of an ACO, CMS  
21 creates benchmarks, and the benchmark is one estimate of  
22 expected Medicare Part A and B spending for an ACO's

1 beneficiaries.

2           Finally, we have financial risk. ACOs in one-  
3 sided risk arrangements can earn shared savings if spending  
4 in a given performance year is below the benchmark, but  
5 they are not responsible for losses above the benchmark.  
6 ACOs in two-sided risk arrangements, however, can earn  
7 savings but also face the possibility of shared losses.

8           In January, we discussed Medicare's permanent and  
9 demonstration ACO models, and today we'll provide more  
10 detail on the two ACO models that began in 2018: the Track  
11 1+ ACO model and the Vermont All-Payer ACO model.

12           Track 1+ is a prospective attribution model that  
13 ACOs can participate in for only one agreement period.  
14 It's similar to the existing MSSP ACOs, but differs because  
15 it is an asymmetric model. The first asymmetry is the  
16 shared savings and loss amount. Track 1+ ACOs can share in  
17 up to 50 percent of savings, but they are only responsible  
18 for 30 percent of losses. Additionally, there is an  
19 asymmetry on the cap for savings and losses, and the  
20 savings cap is higher than the cap on losses, which was  
21 discussed in more detail in your mailing material.

22           The other new model in 2018 is the Vermont All-

1 Payer ACO model. The Vermont model brings together  
2 Medicare, Medicaid, and Vermont's commercial insurers into  
3 one ACO with similar goals. An example of these goals for  
4 Medicare beneficiaries includes attributing 90 percent of  
5 Medicare beneficiaries to the ACO by 2022 and keeping  
6 Medicare per capita expenditure growth below national  
7 Medicare growth.

8 Both the Vermont and Track 1+ ACOs assume risk  
9 and will qualify as advanced alternative payment models for  
10 2018. We will continue to monitor these models moving  
11 forward.

12 In January, we also presented the number of  
13 Medicare ACOs participating in 2017, which on the slide  
14 above are depicted by the blue bars. We now have the  
15 numbers for 2018, which are presented in green. As you can  
16 see, the number of ACOs again increased across most models  
17 in 2018.

18 We first have MSSP Track 1, which is a one-sided  
19 model and continues to dominate with the largest number of  
20 participating ACOs. You can also see great interest in  
21 Track 1+, the asymmetric model I just discussed, which has  
22 55 ACOs participating in its first year. To the right of

1 Track 1+ we also have the number of NextGen ACOs, which  
2 increased from 2017 to 2018. However, recent reports and  
3 updates to the CMS website indicate that seven ACOs have  
4 dropped out of the NextGen program since the start of 2018.

5           So in addition to providing an update on the  
6 status of ACOs in 2018, we also wanted to bring to your  
7 attention changes for Medicare's ACOs that were included in  
8 the recently passed Bipartisan Budget Act of 2018, and many  
9 of these changes align with previous Commission positions.

10           First, the BBA will allow two-sided ACO providers  
11 to create a beneficiary incentive program. The incentive  
12 program would allow ACOs to pay beneficiaries up to \$20 for  
13 a qualifying primary care visit with an ACO provider.

14           The BBA also expands the use of telehealth for  
15 two-sided ACOs with prospective attribution. These ACOs  
16 will no longer be subject to a geographic limitation on the  
17 telehealth originating site and will be able to use the  
18 beneficiary's home as an originating site. This change  
19 aligns with our discussion of telehealth in our March 2018  
20 Report to the Congress.

21           The BBA also expanded the use of voluntary  
22 attribution, and under current CMS rules, beneficiaries can

1 voluntarily align themselves to an MSSP ACO by selecting a  
2 primary clinician on MyMedicare.gov. But moving forward,  
3 the BBA has made this a statutory provision.

4           Finally, the BBA expanded the use of prospective  
5 attribution to ACO models that currently use retrospective  
6 attribution. Starting in 2020, ACOs in retrospective  
7 models, like MSSP Track 1 and Track 2, can switch to using  
8 prospective attribution.

9           We'll now shift our discussion to issues  
10 pertaining to the long-term sustainability of ACOs. To  
11 begin that discussion, I'd like to highlight some findings  
12 that we discussed in January related to whether or not ACOs  
13 are saving money for the Medicare program.

14           As a recap, using CMS data we found that one-  
15 sided ACOs generated a small loss for the program while  
16 two-sided ACOs, regardless of the model, generated savings  
17 on net. The savings for two-sided ACOs were relatively  
18 small, in the magnitude of about 1 percent across models.

19           We also looked at savings estimates for ACOs in  
20 the literature. When looking at the literature, some  
21 researchers found that both one-sided and two-sided ACOs  
22 generated small savings, with two-sided ACOs generating

1 slightly larger savings.

2           We have also included an appendix on quality in  
3 your mailing materials at your request. And, generally,  
4 ACOs appear to be maintaining or increasing quality of care  
5 for their patients.

6           I'll now turn it over to David to discuss  
7 potential issues for ACOs in the long term.

8           MR. GLASS: Thank you.

9           Well, as Sydney has just explained, ACOs seem to  
10 be having some success, and the two-sided variety of ACOs  
11 seems to be doing slightly better.

12           The other concept we need to introduce is  
13 advanced alternative payment models, and the point we need  
14 to remember here is that participation in A-APMs can help  
15 clinicians qualify for the 5 percent incentive bonus on  
16 their physician fee schedule revenue.

17           The only ACO models that can be A-APMs are those  
18 in which the model is at two-sided risk, so we are most  
19 interested in those models.

20           In addition, two-sided risk ACOs best meet the  
21 Commission's principles for A-APMs because they have a  
22 meaningful level of risk and they are at risk for all of

1 Part A and B spending. So we are focused on the two-sided  
2 risk ACO models as those of most interest going forward.

3           So the basic question for the rest of the  
4 presentation is: Are two-sided risk ACO models sustainable  
5 over the long run? And we are going to consider the  
6 following issues and how they may help or hinder their  
7 sustainability: First, MedPAC's proposal on the A-APM  
8 incentive payment. We are talking about hospital-ACO  
9 interaction, asymmetric ACO models, the role of specialists  
10 in ACOs, and ACOs in relation to MA plans.

11           So we have discussed the A-APM incentive payment  
12 in the past, and I'll just briefly outline the issue.

13           In short, the incentive is a 5 percent bonus  
14 payment on a clinician's entire pharmacy fee schedule  
15 revenue, and it's effective 2019 through 2024.

16           The catch is a clinician must meet the threshold  
17 on either payments derived from the model or the  
18 clinician's participation in an A-APM or the share of a  
19 clinician's patients coming through an A-APM. The payment  
20 thresholds are set in law, 25 percent in 2019 and 2020, and  
21 then they increase in later years. The patient share  
22 threshold is set in regulation and is lower.

1           There is an issue with the threshold approach  
2 because it creates a payment discontinuity or cliff at the  
3 threshold, and this creates uncertainty for the clinician  
4 and weakens the incentive.

5           For example, if one clinician has 24.9 percent of  
6 revenue through an A-APM, he gets nothing. If another has  
7 25 percent of revenue, she gets 5 percent on all of her  
8 physician fee schedule revenue for the previous year. This  
9 seems inequitable, it introduces uncertainty, and thereby  
10 weakens the incentive.

11           As we discussed back in November, there is a  
12 different policy that could fix this problem -- that is, to  
13 eliminate the threshold and pay the 5 percent bonus only on  
14 clinicians' physician fee schedule revenue derived from A-  
15 APMs, but pay it with certainty. This proposal is gaining  
16 some traction; it was in the most recent President's  
17 budget.

18           The proposal makes the bonus more equitable and  
19 more certain. It also simplifies the program because it  
20 eliminates the whole mechanism that has been invented to  
21 calculate what share of patients is coming through A-APMs  
22 and, in particular, the all-payer variant which requires



1 CMS to investigate what kind of contract a clinician has  
2 with commercial payers and is it equivalent to an A-APM  
3 model. This proposal would reduce administrative costs.  
4 The point here is this proposal could strengthen incentives  
5 for clinicians to participate in two-sided A-APMs.

6           The next issue concerning long-term ACO  
7 participation is: Will hospitals want to participate or  
8 cooperate with ACOs? Can they coexist?

9           This issue arises because there is a potential  
10 conflict between hospital and ACO incentives. Hospitals  
11 want to maintain or increase their admissions. ACOs want  
12 to restrain spending, and when ACOs first started, reducing  
13 hospital admissions seemed to be a promising way to do  
14 that.

15           However, we find that reducing post-acute care  
16 not inpatient admissions has been the primary source of  
17 Medicare ACO savings, and this should not be too  
18 surprising. There is much less variation in inpatient use  
19 relative to PAC use. In addition, we found that ACO growth  
20 has not led to a material reduction in hospital admissions  
21 at the market level.

22           The take-home point for our purposes today is

1 that the apparent conflict has not been material and, if  
2 that continues, should not be a limit on the long-term  
3 future of two-sided ACOs in the program.

4 Another issue is: Should asymmetric models be  
5 continued?

6 The question here is whether asymmetry is needed  
7 to keep two-sided ACOs in the program long term. If so,  
8 under what circumstances should such models or aspects of  
9 those models be extended to encourage the long-term  
10 participation of two-sided ACOs?

11 Now, by asymmetric, we mean models that are  
12 tilted in the ACO's favor. For example, the share of  
13 savings can be greater than the share of losses, or the cap  
14 on savings can be greater than the cap on losses. Such  
15 models have the potential to increase the availability of  
16 ACOs and are thus of interest.

17 The difficulty is that those models have the  
18 potential to cost the program, particularly if losses  
19 outweigh savings. The extreme example is Track 1 of MSSP.  
20 It is very asymmetric because the share of losses is zero,  
21 and it has cost the program money each year relative to the  
22 benchmark.

1           The new Track 1+ is asymmetric both in the share  
2 and the cap. There are 55 ACOs in the first year, so it  
3 seems attractive to ACOs. Because it is a demonstration,  
4 it doesn't have to meet any test for savings, but it does  
5 have the potential depending on ACO performance to  
6 represent a loss for the program relative to its  
7 benchmarks.

8           One option would be to track the progress of the  
9 Track 1+ model to inform the Commission on the success or  
10 not of tilting payment toward ACOs.

11           Another issue that has concerned the Commission  
12 is specialist participation in two-sided ACOs. In the long  
13 term, will specialists want to participate and will they be  
14 welcome to participate?

15           This is particularly an issue if two-sided ACOs  
16 are the A-APMs of choice and clinicians are encouraged to  
17 participate in A-APMs rather than staying in traditional  
18 fee-for-service.

19           The concern is that if attribution is focused on  
20 use of primary care services, there will be no need to  
21 include specialists to gain attribution. In addition,  
22 there is a concern that specialists contribute to increased

1 costs relative to primary care clinicians.

2           However, we find that specialists are  
3 participating in ACOs. In fact, in MSSP about half the  
4 participating providers are specialists.

5           Conceptually, if specialists were more efficient  
6 in terms of overall patient welfare, specialists could help  
7 control spending, for example, by not doing low-value  
8 testing; they could get more referrals because primary care  
9 clinicians in the ACO could refer patients to them in  
10 preference to non-participating specialists; and  
11 specialists could also share in savings, although we do not  
12 think this is the usual model thus far.

13           Finally, some models are already specialty  
14 focused. For example, ESCOs must have nephrologists to  
15 qualify for the demonstration. Other models could be  
16 developed that require specialists if they retain  
17 accountability for all Part A and Part B spending.

18           So a final issue is: Are ACOs only a transition  
19 step to MA plans and thus there is no reason to worry about  
20 ACOs in the long term?

21           This concern arises if one thinks that MA plans  
22 are the more efficient model, and ACOs will eventually

1 evolve into MA plans without exception.

2           What makes this less compelling a vision is that  
3 MA plans have to enroll beneficiaries and have much higher  
4 administrative costs than ACOs.

5           In fact, in previous work we found that in some  
6 markets ACOs were the low-cost model.

7           ACOs have much lower administrative costs than MA  
8 plans, by about \$1,000 per beneficiary per year.

9           Additionally, in some markets the ACO may be the  
10 dominant provider. In that case, the ACO may get the  
11 benefit of having a limited network without the "lock-in"  
12 that MA plans have. That is, in an MA plan the plan can  
13 require that beneficiaries use a limited network of  
14 providers, beneficiaries agree to be locked into that  
15 network, and the MA plan can keep its cost down as a  
16 result. Dominant ACOs may in effect accrue the same  
17 benefit.

18           Because there is some reason to believe that ACOs  
19 may be the efficient model in some markets relative to MA,  
20 their long-term future is still important.

21           So here are three points for your discussion.

22           Last November, there seemed to be some consensus

1 for changing the policy for distributing the 5 percent A-  
2 APM bonus. Thus, one question is: Should the Commission  
3 recommend a new policy for the 5 percent A-APM bonus that  
4 would increase certainty and eliminate the thresholds?

5           Next, under what circumstances should asymmetric  
6 risk ACOs be continued? If the Track 1+ model seems to  
7 work, for example, should it or aspects of it be continued?

8           Finally, what other issues would Commissioners  
9 want the staff to consider for two-sided ACOs in the long  
10 term?

11           We look forward to your questions and discussion.

12           DR. CROSSON: Thank you very much for the  
13 presentation. As many of you may know, or may not know,  
14 the Commission has been working on the ACO idea anyway for  
15 almost a decade, and we are continuing that work. So we'll  
16 take clarifying questions. David.

17           DR. NERENZ: Thanks. I have three questions.  
18 This is my last chance to ask picky semantic questions, so  
19 here we go.

20           [Laughter.]

21           MR. GLASS: By all means [off microphone].

22           DR. NERENZ: I will. Slide 8, please. Just at

1 the title, you know, the wording here implies that the  
2 model is what has the causal effect, which seems to in turn  
3 imply that there's perhaps been some studies with random  
4 assignment of ACOs to this model or that model so that you  
5 can say it's the model that causes that, and, obviously,  
6 the alternative is that some characteristic of the ACOs who  
7 choose to be in Track 2. So I'm just asking. Is there any  
8 evidence that the model itself has the causal effect here?

9 MR. GLASS: Well, just mathematically, the two-  
10 sided ACOs, you can't have --

11 DR. NERENZ: Well, it's correlation and  
12 causality.

13 MR. GLASS: You can't show loss anyway, right?

14 DR. NERENZ: Well, I'm just -- I'm try to  
15 understand --

16 MR. GLASS: So one would expect them to be more  
17 successful on this metric.

18 DR. STENSLAND: I think you're talking about the  
19 ACO saving money, and David is talking about the government  
20 saving money. And in a two-sided ACO --

21 DR. CROSSON: I think David is asking the  
22 question about selection bias. Is that right?

1 MR. GLASS: Yes, that's fair.

2 DR. NERENZ: No, because it implies here that the  
3 model is what produces the benefit --

4 MR. GLASS: Right, no, it is --

5 DR. NERENZ: -- and that if in future policy we  
6 pushed everybody into this model, the benefit would follow.

7 And I just -- I'd say, well, I don't see the evidence for  
8 that. So I'm asking is that -- has there ever been such --

9 MR. GLASS: No, I don't think so.

10 DR. NERENZ: Okay. I just wanted to ask.

11 Slide 15, please. The word "participation," talk

12 to me a little bit about what we know about participation.

13 It seems like that's a whole spectrum of possible roles,

14 everywhere from a specialty group could be essentially the

15 owner/manager, base of the ACO, run all the infrastructure,

16 take all the risk, if there is some, receive the benefit.

17 Or at the other end of the spectrum, a specialist could

18 just be on a list and essentially not know he or she is

19 even in the ACO. So when we say "participation," what does

20 that mean, exactly?

21 MR. GLASS: What we are saying here is that they

22 are on the list.



1 DR. NERENZ: Okay. So it doesn't imply anything  
2 more than that.

3 MR. GLASS: The list of TINs and NPIs in some  
4 cases or just TINs.

5 DR. NERENZ: Okay. So they may be a participant.

6 MR. GLASS: They don't have to be active.

7 DR. NERENZ: Thank you.

8 MR. GLASS: They don't have to be running it.

9 DR. NERENZ: They don't even know that it's going  
10 on.

11 MR. GLASS: May not know it.

12 DR. NERENZ: Okay, okay. Fine.

13 Last one, 16. The transition set and  
14 particularly the wording of the first bullet, tell me a  
15 little more about -- because an ACO is a delivery system  
16 entity. An MA plan is an insurance entity. You can't just  
17 move on a line from one to the other. It's like an  
18 elephant says, "I'd like to be a fish tomorrow." You can't  
19 do it.

20 So when you talk about this transition, what do  
21 you want us to think about that?

22 MR. GLASS: Well, this is really in reaction to

1 the Commissioners who have said that, "Oh, eventually,  
2 these should just become all MA plans."

3 DR. NERENZ: That's what I -- how -- I mean, how  
4 do you think --

5 MR. GLASS: How they're going to do that? Well,  
6 some of them --

7 DR. NERENZ: I'm inclined to think they can't do  
8 that, as literally stated. I mean, they have to -- an  
9 insurance entity is a certain kind of legal entity with  
10 certain requirements --

11 MR. GLASS: That's correct.

12 DR. NERENZ: -- that are subject to regulation.  
13 You just can't go from Tuesday to Thursday, from one to the  
14 other.

15 DR. STENSLAND: Sometimes they'll team with an  
16 insurance company. They'll say, "We have a group of  
17 physicians, and we're an ACO. Let's team up with this  
18 insurance company, and you offer a product in our market.  
19 We'll be your network. We'll take the risk. You pay the  
20 claims." There we go.

21 DR. NERENZ: Okay. That's what I'm looking for:  
22 What does this word "transition" mean? How does it happen?

1 DR. CROSSON: Well, in addition to what Jeff  
2 said, I mean, some of the larger integrated organizations  
3 have the capacity to develop an insurance function  
4 internally if they want to take that step, and it has  
5 happened.

6 DR. SAFRAN: Quite a bit.

7 MR. GLASS: Again, I'll fully admit. This is  
8 just a semantic picking point. When you say "transition  
9 to" or "wants to be," it seems like the required action is  
10 much more difficult and complicated than that.

11 DR. SAFRAN: There have been over the past couple  
12 of years quite a number of provider-led ACOs who choose to  
13 become a provider-sponsored health plan, and they almost  
14 always start with Medicare first because it's hard to be a  
15 health plan in a market for employers if you only have a  
16 network in a certain geographic area.

17 So you're absolutely right. They have to develop  
18 that insurance function, and they can't just sort of,  
19 presto, become a plan. But I think it's an appropriate  
20 concept to be describing because it's actually -- it is  
21 happening.

22 MR. GLASS: That's okay, and I didn't want to

1 leak into Round 2. I just wanted to sort of tee this up  
2 and say when we talk in Round 2, I just want to understand  
3 what does this transition look like, what does it require.

4 DR. CROSSON: Questions. Bruce.

5 MR. PYENSON: This is a question about the  
6 administrative cost, the approximately \$200 per beneficiary  
7 for ACOs. I'm wondering if you could put that in the  
8 context of -- sort of line that up with the roughly \$1,100  
9 for an HMO for Medicare Advantage. So when you think of  
10 the -- round terms, \$200 is about 2 percent. An MA plan  
11 might be 12 percent, 15 percent admin on a stable basis.

12 What kinds of functions does that line up with or  
13 correspond to?

14 DR. STENSLAND: I think what the ACO does, it's  
15 going to vary somewhat, depending on what their ACO model  
16 is, and I think of ACO models -- there's a continuum, but  
17 some are more like we're going to save money by not doing  
18 things. And other ACO models, maybe we're going to save  
19 money by doing things. We're going to bring people in for  
20 their annual wellness visits, and we think by doing more  
21 wellness, we're going to keep them healthy.

22 So how much administrative they're spending, I

1 think can vary a little bit, but most of this is -- you  
2 know, you're submitting your quality data to CMS. You are  
3 preparing your proposals for CMS, and maybe you're doing  
4 some sort of care coordination.

5           But for the HMO, you're paying the claims.  
6 You're negotiating the prices with everybody. You are  
7 paying a broker to sell your HMO product. So I think  
8 that's where a lot of the much bigger costs come in, from  
9 the HMO administrative cost. We are just reporting what  
10 they report on their bids.

11           MR. PYENSON: So some of that \$200 might actually  
12 be things that the ACO would be doing anyway under the  
13 quality improvement program or things of that sort, and  
14 some of it might be extra analytics.

15           So for an ACO with about 10,000 lives, that's \$2  
16 million. If you think of FTEs, it depends, something like  
17 10 FTEs for an ACO, 10,000. Okay.

18           DR. CROSSON: Okay. David.

19           DR. GRABOWSKI: Great. Thanks for this work.

20           Could you put up Slide 8, please? I just wanted  
21 to ask you. These two bullets, I think, are looking at  
22 very different things. The first bullet is about program

1 design benchmarks, whereas the second is about research  
2 design counterfactuals. And I think the second is about  
3 savings, and the first is not. I wanted to ask how you're  
4 thinking about those. Are you thinking about both of them  
5 as savings here?

6           You need benchmarks for incentives to pay  
7 bonuses, but it's not really true savings to the programs.  
8 I just want to think about how are we thinking about these  
9 two bullets alongside.

10           MR. GLASS: No, that's exactly right. So the  
11 benchmarks were developed for policy, for certain policy  
12 goals and reasons and may not represent the best estimate  
13 of the counterfactual, whereas the academic studies  
14 presumably are trying to do that. So, yeah, if you're  
15 looking at savings to the program, the second bullet is  
16 probably where you want to look. If you're trying to  
17 understand how are they doing relative to their benchmarks,  
18 that's the first one.

19           DR. GRABOWSKI: I want to follow up, but maybe  
20 I'll save it for Round 2. Thanks.

21           MR. GLASS: Okay.

22           DR. CROSSON: Sue.

1 MS. THOMPSON: Thank you.

2 Do you have any idea how many beneficiaries have  
3 voluntarily signed up to be a part of an ACO? Do we know  
4 that?

5 MS. McCLENDON: Yeah. We have a rough estimate  
6 from CMS. It's a couple thousand, but we also do know that  
7 those that have voluntarily aligned, most of them also  
8 would have been aligned via claims.

9 I do think they're trying to ramp up, but we're  
10 not 100 percent sure right now how big the push is to try  
11 and get beneficiaries to voluntarily align.

12 MS. THOMPSON: And, Sydney, you referenced that  
13 there were -- was it seven participants in the Next Gen  
14 program that have dropped out now?

15 MS. McCLENDON: Mm-hmm. Yes.

16 MS. THOMPSON: Would you just talk about that a  
17 little bit for everybody to understand the benchmarking  
18 challenges?

19 MR. GLASS: Yeah. I don't think we have any deep  
20 knowledge of why they left. One might speculate that they  
21 looked at their benchmarks and said that we're not going to  
22 make money on this, but that's total speculation. I don't

1 know.

2 MS. THOMPSON: Okay.

3 In the context of advanced APMs, how much have we  
4 thought about specialists who participate in bundles and  
5 the impact of those patient lives on the ACO and getting  
6 clear about who gets the benefit and no double benefit or  
7 at least one getting the -- and thinking about clarity in  
8 those two programs?

9 MR. GLASS: Yeah. Getting clarity would probably  
10 be difficult. It's really -- gets really complicated of  
11 who should accrue the savings, if you will, from saving  
12 money in an episode if -- the beneficiaries in the A-APM.

13 So the rule, I think, was that in Next Gen, Next  
14 Gen gets priority. So if the patient shows up in an  
15 episode in some place where they do episodes, that patient  
16 is not included in the episodes, and you can only do that  
17 if you have prospectively attributed patients.

18 So I don't know if they've extended that to all  
19 prospectively attributed ACOs or not. We'd have to check  
20 on that, but, yeah, this becomes a very complicated issue,  
21 and we've thought about it a little bit. That's the easy  
22 solution kind of, is to say this patient is an ACO patient,



1 therefore out of the episodes, but obviously, people who  
2 have run episodes don't like that interpretation.

3 MS. THOMPSON: It plays into the challenges we  
4 have with engaging specialists.

5 MR. GLASS: Yeah.

6 MS. THOMPSON: And last but not least, risk  
7 coding. Do we have any idea how much risk coding has  
8 played a role in the savings that ACOs have achieved?

9 DR. STENSLAND: I think up till now, probably not  
10 so much. Going forward, maybe more. It all depends on how  
11 they do a risk adjustment.

12 Like on the one-sided ACOs, you got credit. You  
13 didn't get credit if your risk score went up, but you got  
14 penalized if your risk score went down in terms of moving  
15 your benchmark, and so that was really protecting CMS to a  
16 degree.

17 As they move toward more blended benchmarks,  
18 where the benchmark is partially based on your regional  
19 score and partially based on your historical spending, then  
20 CMS is going to be much more exposed, and if we learn  
21 anything from MA, we should expect risk scores to grow and  
22 benchmarks to grow, and there need to be some sort of

1 correction for that.

2 MS. THOMPSON: Thank you.

3 DR. CROSSON: Yeah, just one point on one of  
4 Sue's point, the interface between ACOs and bundled  
5 payments, at least at the hospital level.

6 The other issue -- and we've talked about this  
7 before -- is the potential for really conflict of interest,  
8 particularly for a bundled payment entity, bundled payments  
9 where in general, it's profitable. So, on the one hand,  
10 you have the incentive that the institution is involved in  
11 to reduce overall cost per beneficiary, and yet if there's  
12 simultaneously a bundled payment opportunity for that  
13 institution that is profitable, there's an incentive,  
14 arguably, to do more of those procedures. And I think that  
15 issue, particularly if bundled payments grow, as a larger  
16 part of payment, we're going to have to wrestle with.

17 I actually have one question on page 12, where  
18 we're talking about the proposal for distributing the A-APM  
19 incentive payment. If that proposal were to go forward,  
20 we've got money moving in two different directions. Have  
21 you had a chance to model out whether that would be a net  
22 loss or net gain for Medicare?

1           MR. GLASS: No. I mean, we haven't really delved  
2 into it too much. To the extent they are paying more  
3 clinicians who would have been under the threshold, it  
4 would appear to increase costs a bit. To the extent they  
5 are not paying them for all of their physician fee schedule  
6 revenue, it would reduce costs a bit.

7           And there is the odd situation now where it seems  
8 everyone is getting past the threshold, and I'm not quite  
9 sure how that happens. But then it would save money.

10          DR. CROSSON: Okay. Thanks.

11          DR. COOMBS: Can I ask a question?

12          DR. CROSSON: On that, Alice?

13          DR. COOMBS: Yes.

14          My question, I was going to ask you, do you know  
15 the distribution of the APM revenue within the providers,  
16 the advanced APM revenue and the providers that we have  
17 right now? There's a distribution. I mean, some people  
18 make the 25 percent. Are there a lot of people who get to  
19 the 50 percent or the 75 percent? What does that  
20 distribution look like? Because that's what's going to  
21 help you to project going forward.

22          MR. GLASS: Yeah. I --

1 DR. COOMBS: I mean, that's something that I  
2 think would be incredibly valuable.

3 MR. GLASS: Well, early on, there seemed to be  
4 some distributions.

5 I think Kate may remember this better.

6 MS. BLONJARZ: So we don't have a real good  
7 sense. We have a little bit of information, and one thing  
8 that CMS has done to kind of get every participant in an  
9 APM, kind of getting the incentive payment, is they have  
10 this process where they say, "Okay. I'm going to see if  
11 you meet 25 percent of revenue at the entity level and then  
12 20 percent of patients at the entity level and then 25  
13 percent of revenue at the individual level," and on and on  
14 and on.

15 And they also do a couple of snapshots throughout  
16 the year, and if an individual gets in, kind of qualify for  
17 the incentive payment, at any point they qualify.

18 DR. COOMBS: Okay.

19 MS. BLONJARZ: So we think that's kind of how  
20 they're getting most people that are participating how  
21 they're determining that they qualify for the incentive  
22 payment.

1 DR. COOMBS: So if it's a multispecialty group  
2 and their overall revenue is at the 50 percent mark, then  
3 the individual would be reflected in that overall revenue  
4 for the multispecialty group.

5 MS. BLONIARZ: Yes.

6 DR. COOMBS: Is that correct?

7 MS. BLONIARZ: Yes.

8 DR. COOMBS: Okay.

9 DR. CROSSON: Okay. Continuing clarifying  
10 questions. Pat.

11 MS. WANG: Going back to Slide 8, can you say  
12 more about -- many of the important -- or at least one of  
13 the important questions that you pose on Slide 17 have to  
14 do with our attitudes towards asymmetric risk, et cetera,  
15 et cetera, which obviously is critically tied to how  
16 benchmarks and savings are calculated. There's been a lot  
17 of discussion about how the so-called CMS benchmarks are  
18 maybe difficult for ACOs to -- there's been opinions that  
19 it's not the best measure of savings, for example.

20 I'm wondering on Slide 8, on that second bullet,  
21 can you say more about the alternative approaches towards  
22 calculation of savings that have been used and whether you

1 think that this is -- whether there's a better, solidly  
2 better alternative out there? You called it the  
3 "counterfactual" that's -- you know, whatever it's called.  
4 Or is this like a work in progress where people are  
5 thinking about it? Has there actually in your view been a  
6 better approach proposed?

7 DR. STENSLAND: I think that it's important to  
8 think that there's two different purposes, and I think most  
9 of the academic studies, you'll see McWilliams and some  
10 others, colleagues at Harvard, have done some of these.  
11 And they're trying to say has the program generated net  
12 savings, meaning the reduction in service use, is that  
13 bigger than the shared savings that are going out? And in  
14 their mind, often the best counterfactual is let's look at  
15 the growth in spending of the people in the market versus  
16 some matched group of people in that same market to see  
17 what their spending growth is when they're not in the ACO.

18 And I think that's reasonable, and I think they  
19 generally find small savings, even in the one-sided model.  
20 In some cases, they found it bigger in the two-sided.

21 Then the question is like should you move that  
22 and should CMS use that for setting its benchmarks, and I

1 think it's not necessarily true that you would want the  
2 same thing for those two different purposes.

3           For example, one thing that's concerned me is if  
4 you started using local benchmarks, then all of a sudden,  
5 the benefit to patient selection gets very big because if  
6 you can slough off an expense to a person that's going to  
7 get a hip replacement and you kind of know they're going to  
8 and they end up going to a different practice, right now  
9 that doesn't really -- you lose that spending, but it  
10 doesn't affect your benchmark because your benchmark is  
11 based on overall growth. But if your benchmark is based on  
12 your local competitor and now you've gotten rid of the  
13 expensive person and now they're in the other group that  
14 you're benchmarked against, you kind of get a double  
15 incentive to slough off the expensive patients or patients  
16 that are going to cost more than they would, based on  
17 historical spending or risk adjustment.

18           So I think there's some caution that we should  
19 not necessarily think that when you have two different  
20 goals -- the one goal, trying to accurately measure are we  
21 saving money, and the other goal as trying to incent people  
22 to do the best for the program -- that we'd necessarily use

1 the same metric for those two different goals.

2 DR. CROSSON: Paul.

3 DR. GINSBURG: I've got two separate questions.

4 One is that when you're mentioning in looking at what's the  
5 source of savings, that is, mostly post-acute care, very  
6 little, and hospital admissions, did you, by any chance,  
7 break it out between the physician-owned ACOs and the  
8 hospital-owned ACOs?

9 DR. STENSLAND: We haven't done that. It's a  
10 good idea.

11 DR. GINSBURG: Okay. The second question  
12 concerns specialists. To what degree can an ACO -- is an  
13 ACO allowed to steer referrals towards specialists that are  
14 either in the ACO or specialists that are not, but which  
15 the ACO believes are more efficient or higher quality?

16 MR. GLASS: Well, I think the physicians in the  
17 ACO, like any physician --

18 DR. GINSBURG: That they can do it on their own.

19 MR. GLASS: Yeah. Can suggest that.

20 DR. GINSBURG: But can the ACO help them?

21 MR. GLASS: Oh. I'm not sure.

22 DR. GINSBURG: Because the ACO presumably has



1 the ability to use data --

2 MR. GLASS: Right.

3 DR. GINSBURG: -- to say these are the  
4 specialists we should be using.

5 MR. GLASS: Oh, yeah. I think they can do that.  
6 Yeah. I think that's fine.

7 DR. GINSBURG: Okay.

8 MR. GLASS: And you can't say, "You can't go to  
9 that specialist" --

10 DR. GINSBURG: Yeah.

11 MR. GLASS: -- because the patient has free  
12 choice, but you could certainly recommend.

13 DR. GINSBURG: I was just thinking about post-  
14 acute care that the hospitals are very restricted as far as  
15 the steering they can do in a formal way at least.

16 MR. GLASS: Right.

17 DR. GINSBURG: So then next question is, what are  
18 the motivations either on the part of the specialist to be  
19 in the ACO or on the part of the ACO to have this -- to  
20 sign up the specialist for the ACO?

21 MR. GLASS: Do you want to turn to that slide?

22 Yeah. So we've just started to think about that

1 a bit, and presumably, if the ACO can discriminate between  
2 specialists who don't do a lot of extra testing and are  
3 conservative in their practice patterns, they could refer  
4 to those people.

5 DR. GINSBURG: Yeah. But the people they refer  
6 to, would they want them to be members of the ACO, or don't  
7 they care?

8 MR. GLASS: Yeah. That, I'm not sure whether  
9 they should -- whether it makes any difference whether they  
10 participate in the ACO and are on the list of physicians or  
11 not. I don't know.

12 DR. GINSBURG: Okay. We'll go into this in Round  
13 2, but I just have the sense that there's not much  
14 understanding about what ties specialists to the ACO. It's  
15 good to see 50 percent somehow are affiliated. That's less  
16 than primary care, I presume, but it's not awful. But I  
17 think it's something I'd like us to dig into more.

18 DR. CROSSON: I don't know either, but I do have  
19 the sense that there's a supply and demand issue there. To  
20 a certain extent, when there is an oversupply of  
21 specialists, for example, in a marketplace, then there's an  
22 incentive for specialists to affiliate with the ACO for

1 volume.

2           Okay. Questions? Jack.

3           DR. HOADLEY: So perhaps not a surprise, I'm  
4 going to ask a question about the beneficiary side.

5           Sydney, you talked about the new incentive in the  
6 Bipartisan Budget Act, and it's so new, I suppose CMS  
7 probably has not said a thing about it yet. But do you  
8 have any sense of even just sort of mechanically what the  
9 expectation is in terms of making this payment? Is this a  
10 check written from the ACO to the beneficiary, and is there  
11 any sort of precedent in commercial or other ACOs for  
12 trying to do this?

13           MS. McCLENDON: So a couple of things on that.  
14 First thing I would say is yes. So for the beneficiary  
15 incentive program, it would kind of be -- our understanding  
16 now. CMS hasn't put anything out yet because I don't think  
17 it's set to be in plan till about 2020 at the latest, but  
18 it would entirely be coming from the ACO. And they would  
19 have to apply to participate in this program and kind of  
20 submit to CMS, "This is what we're planning to do. These  
21 are the services that we would be paying," this up to \$20  
22 on.

1           So I think the way that it's set up now, from  
2 what we can tell the ACO would have some flexibility with  
3 how they wanted to run this program.

4           In terms of like precedents set for something  
5 like this, within the Next Generation program, for  
6 instance, there is a coordinated care benefit that  
7 beneficiaries can receive for doing to their annual  
8 wellness visit with an ACO provider. There's a little bit  
9 of a difference there, though, in that that payment is  
10 coming from CMS. So there's a little bit of a difference.

11           I don't know that we've really seen anything else  
12 similar to this, obviously, within Medicare. I don't know  
13 if you guys have ideas on commercial.

14           MR. GLASS: Well, so we thought about this, you  
15 know, years ago. As you said, we've been talking about  
16 ACOs for approximately forever.

17           [Laughter.]

18           DR. HOADLEY: Right.

19           MR. GLASS: Anyway, so we've been thinking about  
20 this for a while, and we noticed that, you know, one  
21 problem with just lowering the co-pay, which seemed like  
22 the obvious solution to this if you went to the ACO

1 provider, was that a lot of people have supplemental so it  
2 doesn't have much power, and that we even had the specific  
3 supplemental plan, which I think you have at Blue Cross,  
4 for ACOs where you can get a supplemental with a lower  
5 premium because you're going to an ACO anyway. So we've  
6 talked about this in the past, and the NextGen actually  
7 tried to do something. And this is yet another approach --

8 DR. HOADLEY: Yeah, another --

9 MR. GLASS: -- and this one gets over the  
10 supplemental problem because you just hand them money.

11 DR. HOADLEY: Right.

12 MR. GLASS: So, you know, we can see how many  
13 ACOs choose to do it, you know, to have the -- are they  
14 making enough in shared savings to fund such a thing or  
15 not? So it will be interesting to see what the take-up is.

16 DR. HOADLEY: Thank you. That's helpful.

17 DR. CROSSON: Alice.

18 DR. COOMBS: I had a question regarding one of  
19 the appendices in the back on page 12. There's two  
20 questions I have. One is the relationship to the two-sided  
21 risk ACOs and if we have any information about whether or  
22 not -- because you do a comparison between the one-sided

1 and there's a slight savings, as you mentioned, with the  
2 two-sided risk. Did you look to see the distribution of  
3 the penetration in high-cost areas for the two-sided risk?  
4 And does that look different than the one-sided risk in  
5 terms of their ability to have a savings? Because this is  
6 really important, I think, going forward. We did some work  
7 a few years ago looking at --

8 MR. GLASS: Right.

9 DR. COOMBS: -- MA, fee-for-service, and ACOs.

10 MR. GLASS: That's a good question. In earlier  
11 work, we found that the level of service use, not spending  
12 but service use, in an area seemed to have the most  
13 relation to whether the ACOs saved money or not. So that  
14 was -- so that's very important. I don't think we've --  
15 have we done it by two-sided versus one-sided?

16 DR. STENSLAND: We did that at one point, but I  
17 don't remember the results.

18 DR. COOMBS: Right. And I think it's more than  
19 three years ago the last time we looked at it, and I think  
20 the ACO world has changed dramatically. But one-sided  
21 savings are negligible compared to the two-sided risk. It  
22 would be important to know whether or not in markets where

1 two-sided risk ACOs are setting up in a high-cost region  
2 versus a low-cost region.

3 MR. GLASS: Yeah, we'd say high service use  
4 probably.

5 DR. COOMBS: High service use.

6 MR. GLASS: Yea, so we could look into that.

7 DR. COOMBS: I'll ask the other question in Round  
8 2 [off microphone].

9 DR. CROSSON: Further questions? Brian and  
10 Bruce.

11 DR. DeBUSK: I had a question on the episodic  
12 models. Are we following the MA plans that do a blend of,  
13 say, the medical expense ratios and the bundled procedures?  
14 I mean, in the commercial space and in the MA space we do  
15 see some hybrids out there. Are we following those? And  
16 can you speak to anything promising where the two could  
17 coexist in any way?

18 MR. GLASS: I'm not familiar with those examples.  
19 We could look into it, I guess. Are you familiar --

20 DR. DeBUSK: I didn't know if that's an area that  
21 we're going to keep our eye on and track over the next few  
22 cycles, or if we're going to --

1 MR. GLASS: Yeah, we can look into it. I'm not  
2 sure where bundles are going in Medicare at the moment.

3 DR. DeBUSK: I'm not either.

4 MR. GLASS: But we can certainly -- we can see if  
5 there -- you think there's examples in the commercial or --

6 DR. DeBUSK: I know there's an MA plan actually  
7 in the area of the country that I live in that is doing a  
8 hybrid, where the primary care physicians work off medical  
9 expense ratios -- medical loss ratios and receive bonuses  
10 from there, but then the specialists do some episodic  
11 models. It's almost like a sub-routine. And I just didn't  
12 know if that was something we were tracking or if there's  
13 even interest there in seeing what people other than  
14 Medicare are doing.

15 MR. GLASS: We'll get in touch with you on that  
16 [off microphone].

17 DR. STENSLAND: I think it's a good idea, but we  
18 also have to remember what Jay said, and there's a little  
19 difference that if you're in an MA plan and you have prior  
20 authorization, you can kind of limit the number of bundles.  
21 But as Jay said, if we look at the bundled savings so far,  
22 there are -- there tend to be some savings, but those



1 savings could easily be swamped if you end up with a lot  
2 more bundles.

3 DR. DeBUSK: I was just trying to get to the  
4 underlying philosophy. You know, I don't think anyone here  
5 would -- I think subjugating a bundle, an episode to an ACO  
6 is a given. I mean, I think that's not up for debate. I  
7 think the question is: Are we going to -- have you  
8 entertained the possibility that the two could coexist  
9 knowing that the episode has to be subjugated to the risk-  
10 bearing entity? Again, it's more of a philosophical  
11 question.

12 DR. CROSSON: Bruce.

13 MR. PYENSON: In a world where ACOs are a  
14 dominant model or perhaps somehow a required model, what  
15 portion -- I think we have some information on what portion  
16 of people would not be attributable to the current models.  
17 I think the experience reports there's the attributable  
18 population is used as a benchmark, but I can't remember  
19 what portion of people would not be attributable. Do you  
20 recall that? And the reason that's one of the big  
21 differences between an MA plan and an ACO, you know, the  
22 care -- the avoiders can't get attributed, but they can buy

1 an MA.

2 DR. STENSLAND: My best recollection is the last  
3 time we did it, it's somewhere around 15 percent or  
4 something.

5 MR. GLASS: So to be attributable, you need to  
6 have had at least -- I think a primary care physician visit  
7 in the last -- what? -- 12 or 24 months, depending on the  
8 model, I think, and you have to have some history, and you  
9 can't have been in an MA plan.

10

11 MR. PYENSON: So just to put that maybe in a  
12 context of the overall Medicare program, about a third of  
13 the people are in MA, I think about -- what it is? -- 15  
14 percent are in ACOs.

15 DR. SAFRAN: About a third [off microphone].

16 MR. PYENSON: A third are in ACOs.

17 MR. GLASS: A third [off microphone].

18 MR. PYENSON: So a third, two-thirds, two-ninths,  
19 and about another 15 percent couldn't be attributable, so  
20 the portion that are actually in ACOs that could be in ACOs  
21 is actually pretty large.

22 DR. CROSSON: Okay. Thank you. No more

1 questions. We'll proceed with the discussion. As I  
2 mentioned in the beginning, we've been working on this for  
3 some nine years, and we intend to continue to do that. So  
4 what I think I'd like to see in the discussion is some  
5 guidance for the staff in terms of the range of ACO-related  
6 issues that come to the top in your mind in terms of  
7 particularly things that we could work on and why you would  
8 think that. And -- well, I guess that's it.

9           So we're going to start off with Paul and then  
10 Alice, and then we'll have a general discussion.

11           DR. GINSBURG: Well, thanks. I believe that  
12 there are real opportunities to improve ACO performance by  
13 improving the model, and I'd like us to spend time over the  
14 next cycle trying to improve the model.

15           Two areas I'd like to highlight. One is about  
16 the specialist relationships. I'd like us to learn more  
17 about them and start playing with some options of things  
18 that the program can define. You know, the ultimate  
19 specialist relationship that I would like to see but it may  
20 be too large a leap for Medicare to take at once would be  
21 something along the lines of a network model, that there  
22 are specialists in an ACO's network and specialists not in

1 the ACO's network, and that there are obvious incentives to  
2 be in the network, and, you know, at this point I guess  
3 only informally can beneficiaries be steered to the  
4 network. An ultimate network model would actually have  
5 financial incentives to use network specialists. But I  
6 think I'd like us to look into are there, you know,  
7 politically feasible ways to kind of enhance the  
8 relationship between the specialist and the ACOs by, you  
9 know, more significant steering and also, you know, I think  
10 the model is deficient in essentially saying, well, there's  
11 no clear difference from the ACO's perspective whether the  
12 specialist is in the ACO or just on the list of specialists  
13 that the ACO steers to, because I think that MACRA has  
14 brought up these issues about are there opportunities for  
15 specialists to do alternative payment models, and making  
16 the ACO a more significant opportunity for specialists I  
17 think is a way of doing that.

18           The other thing I'd like us to work more on is  
19 this kind of coordination between ACOs and other APMs when  
20 the same beneficiary is involved. You know, I think we  
21 have somewhat of a mess now. As David said, it's very  
22 complicated. I think the principle I'd like us to keep in

1 mind to strive for is having some other APM models like a  
2 bundled payment become a tool for the ACO to use. So the  
3 ACO already has the primary care physicians in the ACO, but  
4 to the degree that the ACO could use bundled payments, it  
5 could develop the payments to the physician say doing the  
6 bundle. It might actually be a more productive  
7 relationship in the long term.

8           And a final thought is that it might be worth,  
9 you know, some limited effort to look at ACO models in use  
10 in Medicare Advantage and in the commercial sector just to  
11 scan for ideas that might be suitable for the Medicare ACOs  
12 that would be an improvement.

13           DR. CROSSON: Thank you. Alice.

14           DR. COOMBS: Thank you. I just want to go to  
15 page 32 and speak in reference to the population-based  
16 quality measures. So one of the things we assume is that  
17 because PAC use is an easily targeted area for cost  
18 reductions, we looked at hospital inpatient use as  
19 something that's kind of fixed and unchangeable, but I  
20 think there's an opportunity here to go to the inpatient.  
21 And something Paul said earlier that I think is really  
22 important is to do an analysis of hospital-led ACOs versus

1 physician-led ACOs. And you do an excellent job in the  
2 paper summarizing the incentives based on who leads the  
3 ACO. And because of that, I think it's a missed  
4 opportunity for us to assume that we cannot make a dent in  
5 the inpatient readmissions and some of the quality  
6 parameters, you know, identified here. So I think that's  
7 an issue that we need to focus on as well.

8           And I know just from experience that some of the  
9 larger systems, they have a lot of arborization with other  
10 satellite community hospitals and even cottage hospitals  
11 that are located in remote locations. And if that entity  
12 is the main leader of the ACO, then, you know, it may not  
13 have the same kind of incentives as a physician-led ACO and  
14 the flexibility of the physician being able to do some  
15 innovative things in terms of reducing inpatient  
16 admissions.

17           I know of one practice in New Mexico where an  
18 office actually manages acute infections in oncology  
19 patients actually prevents them from being admitted in the  
20 hospital, and runs almost like an urgent care unit within  
21 an office. And that's incredible because that patient has  
22 continuity of care and has less likelihood of being

1 admitted to the hospital. So I think that that's a missed  
2 opportunity.

3           The other piece that I think is really important  
4 is actually looking at the distribution of where these two-  
5 sided risk APMs, as mentioned before, the advanced APMs,  
6 the ACOs, the two-sided risk ACOs, if they're gaming their  
7 savings by strategically locating in the places where  
8 you're going to be most prone to have a positive result,  
9 then I think it's unfair because then there's a  
10 distribution that may impair beneficiary access in some  
11 other areas. And I just recall that study that you guys  
12 did a few years back that was so well done comparing ACOs,  
13 fee-for-service, and MA plans. And after this and reading  
14 the encounter data from yesterday, I'm not sure that we  
15 have a continuum from ACOs to MA plans. I'm sorry. That's  
16 where I am. I think that ACOs in certain markets are  
17 probably going to be the poster child for the best standard  
18 of care, and then maybe MA plans in other markets. But I  
19 think for us to prematurely say this is the road map to the  
20 pie-in-the-sky MA plan, I think it's a difficult place for  
21 us to be right now. And I think we have to compare quality  
22 such as you outline here with robust, reliable, accurate

1 data from the MA plans. And I think that's a really  
2 important piece of it.

3           And as for the specialists, there are specialists  
4 who are kind of tangentially involved with some of the  
5 ACOs, and I know in the Boston area some of the primary  
6 care doctors will refer not based on what they see in terms  
7 of dollar amount, but they will refer on I'm going to this  
8 orthopedic surgeon because this orthopedic surgeon gets the  
9 patient out, they're in home health, and they're not going  
10 to stay in a PAC. They're going to be -- they're going to  
11 use whatever devices that they use to make sure the patient  
12 has little chance of returning to the operating room,  
13 little case of reinfection, and that that patient has the  
14 best post-operative outcome. So I know that for sure when  
15 that patient comes back to the primary care doctor, that is  
16 having an influence on that primary care doctor. And  
17 whether they put a Get Around Knee in or some kind of  
18 special knee from any other company, it doesn't matter.  
19 They're looking at how the patient does. And that will  
20 decrease costs versus someone who has knee replacement who  
21 has to come in for, you know, infected wound and have the  
22 complications. And I think that's probably more the thing



1 that moves the meter for the people inside the ACO and what  
2 they look at in terms of cost.

3           So the specialist that is chosen, it has a lot to  
4 do with local factors in terms of quality outcomes. I  
5 think that thing we cannot negate. I mean, that is an  
6 important feature. And whether or not bundles exist within  
7 the ACO I think is really an important piece. This whole  
8 notion of this ACO is very, very innovative and they have  
9 congestive heart failure, they have chronic, they have  
10 acute, they even have a relationship with maybe an  
11 anesthesia group that is running a perioperative surgical  
12 home or enhanced recovery after anesthesia, because all of  
13 those things will actually decrease the cost. Some people  
14 are going to need an appendectomy, and you want that  
15 appendectomy to be done in a fashion in which the patient  
16 has the best outcome, limited complications, whether  
17 they're anesthesia complications, surgical complications.  
18 I think the specialists are really important in this as  
19 much as, you know, the quality piece is as important, as  
20 much important as the cost piece. And I think that's  
21 something that we cannot negate.

22           I just think that this whole thing of geographic

1 penetration of where the ACOs are is really important as  
2 well.

3 DR. CROSSON: Thank you. Further comments?  
4 Let's start with Kathy.

5 MS. BUTO: So I guess one of the questions I'd  
6 like us to be able to answer is: Do we think that ACOs as  
7 an option is always better, always preferable to fee-for-  
8 service? And I know that the analysis that Alice is  
9 talking about showed that in some areas of the country,  
10 fee-for-service was the lowest-cost option. But I don't  
11 think even though we focus on cost in this paper and often  
12 that cost ought to be the driver here in every case. We've  
13 got to consider other factors in fee-for-service more, you  
14 know, disaggregated care, uncoordinated, et cetera, and the  
15 possibilities that ACOs provide.

16 So I'd really like us to think about that option  
17 as much as are ACOs the transition to MA, which I think is  
18 a decent question and one that we should try to address.  
19 But I'm really almost more interested in is the ACO an  
20 option we ought to be promoting and eliminating fee-for-  
21 service as it exists now, just a free fee-for-service  
22 system.

1           And then getting to the transition issues that  
2 David was raising earlier, I think it would be really  
3 helpful to all of us in our thinking about that transition  
4 if we thought about, you know, what are the steps that an  
5 ACO -- or what are the attributes of an ACO that would be  
6 required by taking on insurance risk -- I think Dana was  
7 mentioning other things -- in order to make that  
8 transition? And then what is the likelihood or what are  
9 the attributes that would suggest an ACO would remain an  
10 ACO? And I suspect when we've looked at that, we'll think  
11 that there will be a lot of ACOs that will never transition  
12 to MA.

13           And so I just go back to my first question. If  
14 that's the case, it strikes me, do we think that's a  
15 preferable option to what exists in sort of unfettered fee-  
16 for-service?

17           So those are just two things I'd really like us  
18 to pursue because it helps to crystallize our thinking  
19 about ACOs, and then I think the issues of refining the ACO  
20 model, trying to think about things like -- I guess Paul  
21 was suggesting more like a network model that allows for  
22 some differentiated cost sharing, more like a PPO, again,

1 not under a full-risk contract but maybe more tools that  
2 would make the ACO with a prospective attribution a more  
3 attractive option for beneficiaries. So if we could think  
4 about that, I think that would be really helpful.

5 DR. CROSSON: Paul, on this?

6 DR. GINSBURG: Yeah, on this one. A really  
7 intriguing idea by Kathy about eliminating fee-for-service,  
8 which, in a sense, another language would be making ACOs  
9 mandatory. That may be a big step to take, but often the  
10 Medicare program moves in these directions through  
11 incentives. And so, in a sense, you know, a somewhat more  
12 generous payment for ACOs than for outside of ACOs. And  
13 you could even think about the Track 1 ACO with the one-  
14 sided risk as a form of differentiating payment. Yes, it's  
15 a sweet deal to be in Track 1, but maybe that's actually  
16 part of the strategy, to, you know, set in motion a move,  
17 and it's been a fairly successful move of, say, a third of  
18 the fee-for-service beneficiaries in ACOs.

19 So, you know, I think we ought to think about  
20 these issues as well.

21 DR. CROSSON: I just want to clarify and make  
22 sure I understand here, because we tend to sometimes use

1 words that have multiple meanings. But when we're talking  
2 about eliminating fee-for-service, we're really talking  
3 about the mechanisms of traditional Medicare as opposed to  
4 ACO, rather than eliminating fee-for-service as a payment  
5 mechanism to end the entity.

6 DR. GINSBURG: Right. Oh yeah, absolutely.

7 MS. BUTO: Yeah, and that's exactly --

8 DR. GINSBURG: This is really just meaning that  
9 all beneficiaries --

10 DR. CROSSON: Okay.

11 DR. GINSBURG: -- would be in ACOs unless they  
12 opt for Medicare.

13 DR. CROSSON: Just for the record, I wanted to  
14 make that clear.

15 DR. GINSBURG: Yeah.

16 DR. CROSSON: Jon.

17 DR. GINSBURG: And I also agree with Kathy about  
18 we shouldn't be thinking about transition to Medicare  
19 Advantage. I think that the ACO program was created as  
20 something that involved value or management that was not  
21 Medicare Advantage, for those that didn't want restrictions  
22 on their network. So I don't see any attractiveness of

1 thinking in terms of a transition.

2 DR. CROSSON: Jon.

3 DR. CHRISTIANSON: Yeah, I just -- just a quick  
4 question for Scott and Carlos. So at one point Congress  
5 decided, if I recollect correctly, to pay MA plans at some  
6 percentage above the cost of a fee-for-service beneficiary  
7 in order to attract plans in the market and keep them in  
8 the market. Is that correct? Is my memory correct on  
9 that?

10 DR. HARRISON: Not explicitly.

11 DR. CHRISTIANSON: I thought it was explicit.

12 No?

13 DR. HARRISON: It wasn't explicit.

14 DR. CHRISTIANSON: Okay.

15 DR. HARRISON: But there are quartiles. I mean,  
16 the quartile system has some areas where there --

17 DR. CHRISTIANSON: There was a --

18 DR. HARRISON: -- there used to be rural and --

19 DR. CHRISTIANSON: Yeah, so there was a concern  
20 that we didn't have enough MA plans, we raised the rates  
21 for MA plans, trying to attract more in the market. I  
22 don't think we have a similar strategy for ACOs, if you

1 will. I mean, what we want to do with ACOs is save  
2 Medicare money. What we want to do with MA plans was pay  
3 them more than it would cost for Medicare beneficiaries.  
4 So that aligns with what Paul was saying, I think, in the  
5 sense that if we really wanted to have more ACOs in the  
6 market and pay them more.

7           The chapter talks a lot about the cost structure  
8 for different kinds of ACOs and the transition from ACOs to  
9 MA plans. It doesn't talk at all about sort of the payment  
10 structure for ACOs. I think the right thing to -- question  
11 to ask is where is the profit? You know, if you can get a  
12 bigger profit from moving from an ACO to an MA plan, why  
13 wouldn't you want to do it, supplemental to the caveats  
14 that David outlined earlier.

15           And that's where I would look in terms of trying  
16 to think about transition. So it's not necessarily do we  
17 want them to transition, but given the payment structure we  
18 have now of MA plans relative to ACOs, why wouldn't a lot  
19 of ACOs try to work through this and move to MA plans? And  
20 I think that this payment structure has certainly worked to  
21 the advantage of MA plans, because otherwise why would we  
22 continue to see increased enrollment in MA plans, more MA

1 plans in the market, and so forth.

2 MS. BUTO: Jon, I just don't think a lot of plans  
3 -- ACOs have the same governance structure that allows for  
4 that kind of unified decision-making, to move toward a more  
5 favorable payment mechanism. It may be favorable in the  
6 aggregate but actually organizing to do that is a pretty  
7 difficult thing.

8 DR. CHRISTIANSON: Yes. I didn't explain myself.  
9 If the government had -- let's just say if the government,  
10 tomorrow, doubled the payment for ACOs would we expect to  
11 see more ACOs and less movement towards MA plans?  
12 Probably. If it doubled the payment towards MA plans we  
13 would see a lot of ACOs trying to put together government  
14 structures and so forth to try to become MA plans. So it's  
15 the direction of the incentives that exist in the present  
16 system that I think is, over time, going to cause ACOs to  
17 say "I'm not making money under this benchmark, but I'm  
18 going to collaborate with Aetna, I'm going to do something  
19 else to try to move my organization to a place where I can  
20 make more money."

21 MS. BUTO: So I guess you're raising a point for  
22 me, which is not only should we be thinking about the



1 incentives but we ought to be thinking about whether we're  
2 driving change that's undesirable, for the wrong reasons,  
3 if you will.

4 DR. CHRISTIANSON: Incentives are great. Level  
5 of payment trumps incentives, if you will, okay?

6 MR. GLASS: So if you're saying --

7 DR. CHRISTIANSON: Okay. Just let me be --  
8 sorry. Go ahead, David.

9 MR. GLASS: Yeah. If you're saying that in an  
10 area where the MA benchmark is 115 percent of fee-for-  
11 service, and an ACO benchmark is fee-for-service or below,  
12 why would they do that? That's an interesting question.

13 But there are also -- I just remember when we  
14 were talking to ACOs early on, some were saying that one of  
15 the reasons they want to be ACOs is because they have  
16 beneficiaries who do not want to be in MA plans, and that  
17 they participate with MA plans but they want to capture  
18 these other beneficiaries who just do not want to be in an  
19 MA plan, for whatever reason. So you do have to keep those  
20 beneficiaries in mind too.

21 DR. CHRISTIANSON: Sure. I'm not saying every  
22 ACO will switch over, but you could have both. If you're

1 an organization, you can have both options.

2 DR. CROSSON: Okay. I just want to get back here  
3 to where we were. There are a number of individuals, I  
4 think, who wanted to comment on Kathy's. Is that right?  
5 No, you were just raising your hand for -- no, okay. So  
6 then we're moving up this way then. Jack.

7 DR. HOADLEY: So I want to go back again to the  
8 beneficiary side, and, actually, I think it goes to this  
9 last sequence of questions as well. But, I mean, to date,  
10 for the most part, I think it's safe to say that  
11 beneficiaries are not aware of whether they're involved in  
12 ACOs. You know, I asked one person who is a Medicare  
13 beneficiary, I said, you know, have any of your encounters  
14 with procedures sort of raised some notion that you knew  
15 that they were in an ACO? And they thought, well, maybe  
16 there was a case or two where, you know, there was  
17 something that might have suggested that.

18 But I think, in general, I can't remember if  
19 we've asked this in focus groups in the past, whether  
20 beneficiaries are aware of sort of being involved, being  
21 attributed to, you know, they might not know all those  
22 terms.

1 MR. GLASS: Yeah, we have asked, and they're not.

2 MS. BLONJARZ: We have asked and they don't know.

3 DR. HOADLEY: And, yeah, and they don't know.

4 That's what I thought.

5 So it does seem like as this ACO interest is  
6 ramping up and we're seeing more people being attributed to  
7 ACOs, more interest from folks like this Commission in  
8 seeing them become more widespread, and, you know, and the  
9 kinds of things like Paul was talking about, sort of  
10 engaging, potentially, with networks and things where the  
11 beneficiary is going to have consequences for being --  
12 right now, you know, it's okay that you don't know because,  
13 you know, maybe there are consequences of less money, less  
14 wasteful things are being done, more quality care. There  
15 may be some positive consequences, but they're not things  
16 that necessarily have to engage you. But as we're getting  
17 to ideas like networks, or like incentive payments, like  
18 the new incentive payment, there's more reason to become  
19 engaged.

20 So I think something that's important to do is to  
21 try to understand that engagement. We've talked a lot  
22 about that, and so, maybe, you know, something like this

1 \$20 incentive payment is an opportunity to engage somebody  
2 -- why am I getting this check? -- and a chance to explain  
3 that. You know, I don't know if that's a good way but  
4 that's at least maybe something to think about.

5           You know, with the voluntary attribution program  
6 -- and you asked, I think, in the paper, you know, should  
7 you be tracking how many people are engaged in that, and  
8 you gave a number to one of the questions and it was quite  
9 small -- but, you know, going forward with more use of a  
10 voluntary attribution, identifying a primary care  
11 clinician, what is it that a beneficiary understands that's  
12 about? Is it just I got a chance to designate my primary  
13 care physician and that's just maybe for record-keeping  
14 purposes, maybe they think? You know, does that lead to  
15 any more understanding of why that's being done, or the  
16 consequences of that being done?

17           So, again, it seems like a good focus group kind  
18 of discussion, maybe even part of a survey, the surveys we  
19 do, or something like that, but, obviously, also trying to  
20 think through the things we've been thinking through from  
21 time to time. How do these payments, you know, if we  
22 wanted to do a differential copay, what would that really

1 mean?

2           If we want to go to a network, now we're talking  
3 about something both in the copay and the network, where,  
4 you know, maybe we're restricting the choices that that  
5 beneficiary has. Even though we think it's for a good  
6 reason, how does that play out? What does that -- can we  
7 explain that? Can we make that into a good thing, or do we  
8 have to worry about the downsides of that, that suddenly,  
9 you know, they could end up going to a specialist, because  
10 a friend referred them, and suddenly find out they're  
11 paying more for it, probably after they've actually had  
12 that appointment and maybe even had a surgery, or something  
13 like that, and it turns out -- and again, I know all the  
14 supplemental insurance issues are complicating that.

15           But I think that's something that's really  
16 important for us to try to engage. What does it mean and  
17 what is it reasonable for a beneficiary to understand about  
18 this? How do they think of it differently than an HMO,  
19 than an MA plan, and so forth?

20           And then I did want to make one comment, and  
21 somebody just -- Alice, you just referenced this too, on  
22 the hospital post-acute sort of question of -- and I guess

1 my question, you know, it's perfectly fine to say, you  
2 know, there's reduction in the post-acute care and that's  
3 probably a good thing. I guess my question is -- and I  
4 think, Alice, maybe this was your question as well -- is  
5 should there be a reduction of inpatient admissions? Are  
6 we comfortable that the results that we're seeing are, in  
7 fact, optimal results, that we don't need to change the  
8 amount of hospital use? And if we think there should be  
9 some further prevention of hospital use, you know, what is  
10 it that -- you know, that sort of re-engages that question  
11 of how does that hospital intersect, and all those  
12 questions about, you know, they get paid more when they get  
13 more admissions, and yet the whole point of this is to not  
14 have that, you know, to reduce some of the unnecessary  
15 admissions. And is there enough in the ACO shared savings,  
16 upside, downside, and all that, to actually make a  
17 difference for something as large a lump as a hospital  
18 admission is, as opposed to, you know, a few more days in a  
19 SNF or an additional home health stay, which is, you know,  
20 not as big a hit and it's a provider that may not be as  
21 integral to the creation of the ACO.

22           So it does seem like there are some important

1 things to engage there as well.

2 DR. CROSSON: Amy.

3 MS. BRICKER: Some of the things that Jack was  
4 just mentioning just raised some questions for me.

5 So you mentioned that such a small number of  
6 beneficiaries attribute themselves to an ACO.

7 MR. GLASS: Let me just say, they don't attribute  
8 themselves to an ACO. What they're doing is they're going  
9 on to Medicare Compare and saying "this is my primary care  
10 clinician," and that clinician is in an ACO, so they get  
11 attributed that way. But the beneficiary is not saying "I  
12 want to be in this ACO."

13 MS. BRICKER: What are the reasons, in your mind,  
14 that folks are coming out of MA plans? Is it network-  
15 related, primarily, or do you have a sense?

16 MR. GLASS: I'm not an expert on people coming  
17 out of MA plans.

18 [Pause.]

19 MS. BRICKER: It's okay. I was just trying to --  
20 is there some -- what's the rationale for involving the  
21 beneficiary in the decision, if, in fact, they believe I  
22 will have less choice because now I'm a part of an ACO, I

1 have more freedoms to see whomever, whenever, if I'm in  
2 fee-for-service. Do we actually think that investing in --  
3 you know, informing the beneficiary is going to have some  
4 sort of positive outcome, or does it have some unintended  
5 consequence? It was just more of -- I just wanted to  
6 understand your perspective on that.

7           MR. GLASS: Okay. So some of the history of this  
8 is, one of the reasons ACOs are set up as they are, where  
9 beneficiaries are passively attributed into ACOs is that  
10 very question. Well, they're not giving -- the reason why  
11 that seems to be an acceptable way of doing it is because  
12 they're not giving up any choice by being in an ACO. They  
13 can still go wherever they want. And the reason they  
14 wanted to make it passive was to get enough people into the  
15 ACO. It's a lot easier to do that through passive  
16 attribution than for a beneficiary to say "I want to be in  
17 an ACO," to active enrollment.

18           So that's kind of why the program is set up as it  
19 is. You wanted to get enough beneficiaries in there  
20 without -- and the only way you could do that is say, well,  
21 they're not giving up any choice, so if it's passive  
22 attribution it's okay. So that's kind of how we ended up



1 in this situation.

2 MS. BRICKER: That's helpful to me. I was,  
3 again, just thinking about if you were to actually then say  
4 "bene, you are a part of an ACO," what happens in their  
5 mind when they are informed of that?

6 MR. GLASS: So they tried -- when they started  
7 these things they had a letter that went out to  
8 beneficiaries and said, "Hey, congratulations. You're now  
9 in an ACO. You know, these nice things will happen," and  
10 it caused tremendous confusion --

11 MS. BRICKER: That's what I imagined.

12 MR. GLASS: -- and people were very upset, and  
13 they quit doing it.

14 MS. BRICKER: Thank you. Okay. Thanks.

15 DR. HOADLEY: Just as a quick -- I mean, what my  
16 point was really that if we started to engage more things  
17 with consequences then we're going to have to confront that  
18 question of -- you know, right now it seems perfectly  
19 sensible that you would keep them out of the loop on it.

20 DR. CROSSON: And/or, as I think you referred to  
21 briefly, we could be considering positive consequences, in  
22 other words, inducements, added value.

1 DR. HOADLEY: And that's why I thought the \$20,  
2 you know, payment is a concrete example of something. Some  
3 of the others might be less concrete in the sense of better  
4 quality providers.

5 DR. CROSSON: Well, there's that. Pat.

6 MS. WANG: On the issue of should ACOs compete  
7 with MA based on administrative cost, should ACOs be viewed  
8 as transitioning to MA plans, I just -- you know, I don't -  
9 - it's an apple and an orange. ACOs are an efficient  
10 delivery system and they're critically important. To me,  
11 ACOs will -- there will always be folks who choose MA and  
12 there will always be folks who choose fee-for-service, and  
13 it's just until that rule changes and everybody in Medicare  
14 is required to join something, there will always, in my  
15 view, be a need for both.

16 And I think that even a very efficient and  
17 successful ACO who decides that they can do well in an MA  
18 arrangement, they could go the route of starting their own  
19 plan, that is not the only way to do it. They could decide  
20 to take a global risk contract with a licensed MA insurer.  
21 And even in that situation, they will still have an ACO  
22 because they will still be taking care of people who choose

1 to stay in the fee-for-service system.

2           So I just want us to be a little bit careful  
3 about setting up this dichotomy -- you're an ACO or you're  
4 an MA plan, or whatever. I think it's really -- they're  
5 two different things.

6           On this idea of the competition of ACOs versus  
7 MA, based on admin costs, again I would really caution us a  
8 little bit there, because MA plans are fully licensed  
9 insurance companies. They are subject to a huge amount of  
10 regulation from CMS and a huge amount of regulation from  
11 state insurance departments. So I would just be careful  
12 about saying \$200 versus \$1,200. They are really two  
13 different things and there are a lot of ways that efficient  
14 delivery systems can and have worked very well in  
15 partnership with licensed insurance companies.

16           And I would note that many providers have started  
17 their own full-service, full-thickness insurance companies,  
18 and there's, candidly, pretty high rate of failure. There  
19 are a lot of ways to have a successful global risk  
20 arrangement without going out and getting your own license.

21           That said, I think that ACOs are incredibly  
22 important, and I would like to add to Paul's request that

1 we work on improving the model for ACOs, to really develop  
2 them. And my wish list there for items to look at are,  
3 number one, the issue of benchmarks and the calculation of  
4 savings. It does really seem that this is an evolving  
5 field, but perhaps MedPAC could make a contribution to the  
6 development of a more effective benchmarking or method of  
7 calculating savings to encourage more organizations to  
8 become ACOs and to succeed as ACOs while protecting the  
9 public fisc.

10           The other item, and you've heard me say this  
11 before, has to do with inpatient utilization and the  
12 observation, which I appreciate you're going to parse about  
13 the sort of static nature of inpatient discharges per  
14 1,000, whether you're in fee-for-service or in ACO.

15           The one element that I really think is part of  
16 improving the model that I would really like us to look at  
17 is the things in the inpatient -- in the Medicare  
18 reimbursement system that may serve as inadvertent anchors  
19 to keep people tied to their inpatient statistics. We've  
20 mentioned this before. There are a lot of special  
21 payments, whether it's GME, IME, DSH, which then drives  
22 your 340(b) status, that are tied to inpatient use in two

1 ways. One is that inpatient statistics are used to  
2 calculate what you're entitled to, and the second is that  
3 the inpatient discharge is basically the conveyance vehicle  
4 to get paid.

5 I would really like us -- those are just some  
6 examples, but I would like us to see whether or not we can  
7 identify whether there are things like that in the Medicare  
8 payment system that may inadvertently be tying folks to  
9 sort of protecting their inpatient volume, or at least  
10 setting up a cognitive dissonance and preventing people  
11 from going all out to kind of keep people out of their  
12 emergency rooms, keep people out of their inpatient beds.  
13 At least it's one element of it.

14 And just to be clear, I am not talking about  
15 changing or looking at payment policy for DSH, UCP, GME, or  
16 any of those things, because then we will be here for  
17 another six years. I'm not talking about that. I'm  
18 talking about whether or not there are ways to decouple the  
19 use of the inpatient statistics to allow folks in the ACO  
20 world, and eventually in the full risk MA world, to be  
21 relieved of the burden of having to generate inpatient  
22 statistics in order to get the special payments to which

1 they're entitled. Maybe there's some kind of variation in  
2 the CMMI model that uses the base year, that the benchmark  
3 is based on, and says this is was your DSH calculation,  
4 your GME, you know, and maybe hold those harmless from your  
5 actual inpatient statistics going forward in your ACO, and  
6 just see whether there's a change, and see whether it had  
7 an impact.

8 DR. CROSSON: Jon.

9 DR. CHRISTIANSON: A quick question for Jeff.

10 Jeff, your example you used about an ACO trying  
11 to -- I didn't quite follow it. Trying to offload patients  
12 that were expensive, was that a statement about the  
13 inadequacies of the risk adjustment process for high-cost  
14 patients? Is that what you were kind of implying? That  
15 the risk adjustment process of your ACOs doesn't work very  
16 well for high-cost patients?

17 DR. STENSLAND: I'm saying that there's a risk on  
18 both sides, the high cost and the low cost, and I think  
19 that offloading the person that's high cost and not fully  
20 accounted for in your risk adjustor, that is a risk to the  
21 program.

22 DR. CHRISTIANSON: Yeah, but you're saying that

1 it isn't. I mean, the evidence on the MA risk adjustor  
2 would suggest that it underestimates cost for high-severity  
3 patients. Is that what you're saying? The same problem  
4 with the ACO risk adjustment process?

5 DR. STENSLAND: Well, the ACO I was thinking  
6 about, the one currently where it's based on your  
7 historical cost, and so if the physician, for example,  
8 knows that the patient is going to need some procedure in  
9 the future, if they can offload that patient that's going  
10 to get the hip replacement next year and have them start  
11 seeing some other primary care doctor, that would be at  
12 risk to the program financially.

13 Because the risk adjustor is different in the ACO  
14 than in the MA, it's a little different.

15 DR. CHRISTIANSON: So this is more of a potential  
16 problem for the ACO than the MA as well? Is that what you  
17 just said?

18 DR. STENSLAND: At least this is an ACO problem.  
19 I think the MA might have different problems, but I think  
20 this would be the ACO problem.

21 MR. GLASS: Yeah. It's not a problem for the  
22 ACO. It's a problem for the program.

1 DR. STENSLAND: And there's the flip side too. I  
2 think Alice brought this up. If the ACO knows who their  
3 people are and the person hasn't gotten care all year long  
4 and it becomes around November or December and you're going  
5 to say, "Why don't you come in for your wellness visit,"  
6 that's almost a guaranteed winner. So there's some  
7 potential for patient selection on the high side and the  
8 low side.

9 DR. CROSSON: Okay. We have exhausted our time.  
10 I'm going to continue this discussion because it's an  
11 important discussion. I'm going to urge brevity, and then  
12 I'm going to make now comments myself.

13 [Laughter.]

14 DR. CROSSON: And I will be brief.

15 I want to second what Pat said. Personally, I  
16 believe that unless we resolve the many issues involving  
17 the role of hospitals in ACOs and the incentives for  
18 hospitals not only to be nominally part of an ACO but to  
19 actually be participating actively, both in quality  
20 improvement and cost savings, then we're going to see this  
21 stall because eventually the amount of savings that can be  
22 extracted from post-acute care are going to be exhausted.



1           And I do agree that there are--I mean, we spent  
2 how long yesterday on low-value care services, and some of  
3 those take place in the hospital setting. And I think that  
4 if we have a situation going forward where the hospital is  
5 only nominally involved, then I think we've got a problem.

6           And there are many aspects of that. There's the  
7 interface with the bundles. There are the issues that Pat  
8 brought up about ancillary payments to hospitals being  
9 adversely affected, and then I think also the fundamental  
10 way that hospitals are paid needs to be altered over time.  
11 That's one point.

12           The second point is I'm not enthusiastic about  
13 the asymmetric incentive model. I understand why that is  
14 in place. I think the notion there is let's do something  
15 to incent the development of more two-sided risk ACOs, but  
16 I think -- I have to see how it plays out, but I'm  
17 concerned that it's inherently inflationary. And I think  
18 it's not the only model of two-sided risk that one can use  
19 because I'm quite familiar with the two-sided risk model  
20 that's based on -- that is symmetric, but it serves to  
21 buffer both the upside gain and the downside risk by  
22 building a layer of progressively increasing or decreasing

1 corridors, which I think would personally -- just myself --  
2 I think would work as effectively as an asymmetric model  
3 but not create the model of Medicare overpayments in the  
4 future.

5           So I think those two things, I think are worthy  
6 of exploration.

7           Craig.

8           DR. SAMITT: So I guess it's appropriate at my  
9 last meeting to say I worry that we're losing sight of the  
10 problem we're trying to solve.

11           And my sense of where the whole ACO movement  
12 started is we saw this cadre of highly accountable  
13 organizations that were focused on comprehensive quality  
14 service and cost improvement, and I think our desire was to  
15 create an incentive system that would motivate delivery  
16 system reform and the direction of the Kaisers and the  
17 Deans and the HealthCare Partners and the CareMores.

18           And I question how much progress have we really  
19 made creating an incentive model to move organizations in  
20 that direction.

21           I think we also get lost in this notion of a  
22 continuum or a transition from ACOs to MA, and I think it's

1 a misnomer because I think what we found is that some of  
2 the most highly accountable organizations are provider-  
3 sponsored MA plans.

4           That's not to say that MA plan is the  
5 destination. I think the destination is accountable  
6 models, and I think the feeling was, is that ACOs were a  
7 step, a roadway to begin to help everyone to move from a  
8 less accountable fee-for-service model to a more  
9 accountable population health model.

10           You would not be surprised for me to say I just  
11 think we need to be bolder in our approach. I think we've  
12 created some undue complexity with perverse incentives,  
13 where on the one hand, you've got this notion of hospitals  
14 that want to be ACOs, but they're worried about  
15 cannibalizing their existing fee-for-service realm. And  
16 you also find the scenario where we're coupling bundled  
17 payments with ACOs and essentially making ACO less  
18 attractive to the broader population because we're giving  
19 margins to specialists in the form of bundles as opposed to  
20 thinking more broadly, like sub-capitation to specialist as  
21 opposed to bundled payment to specialist.

22           So it feels to me that the focus of the

1 Commission, to Paul's point, should be to fix the model in  
2 a way that really dis-incentivizes less accountable approaches  
3 to care and further incentivizes more accountable approaches to  
4 care.

5           And let me just be very bold. As it relates to  
6 asymmetric or two-sided risk, do we say that if you're a  
7 hospital-sponsored ACO, you cannot be in an upside-only  
8 model? That hospital-sponsored ACOs must take downside  
9 risk as a way to really advance the model.

10           And maybe we definitely do need to consider the  
11 notion of should fee-for-service, as we know it, not be an  
12 option, and should we essentially say the minimum  
13 accountable model is the ACO, and then we go up from there  
14 to more advanced models? It feels like we're stagnating,  
15 and we're in the continuum from less accountable to more  
16 accountable. We create such complexity and confusion at  
17 the very left side, barely above the fee-for-service  
18 threshold, that we're not allowing people to kind of find  
19 the merits to move further toward these highly accountable  
20 models.

21           So I just think we need to do more, and we need  
22 to be bolder, because after six years, I admittedly have to

1 say that I feel we've made very little progress.

2 DR. CROSSON: Sue.

3 MS. THOMPSON: I will be brief.

4 I just want to remind us as we look forward and  
5 thinking about ACOs, this concept of shared savings in  
6 theory, I mean, at some point in time, you get to a point  
7 where you have diminishing returns. So there has got to be  
8 an answer after this shared savings adventure that we're  
9 on.

10 To answer your questions on the board, yes, I do  
11 think we should eliminate the threshold. I think it adds  
12 complexity in an already very complex situation, although I  
13 would call out that this advanced APM bonus that we have  
14 available to us is a great attraction for specialists to  
15 want to become involved in this work. And that is  
16 important.

17 And so as we talk about hospital-sponsored ACOs,  
18 hospitals alone have no lives without physicians. So, in  
19 the perfect world, we need the capital that a hospital can  
20 bring and the lives that the physicians can bring in order  
21 for this experiment to work, and I think that's just  
22 really, really important.

1           Having said that, I'm very intrigued to see, if  
2 we can, the difference between the physician aggregators  
3 versus those that are led by hospital systems, if you will.  
4 I think that's important, and I think it's going to be  
5 important to understand because in addition to bonuses, the  
6 access to capital to get into this business is  
7 extraordinary, and for physician groups alone who typically  
8 do not have retained earnings to withhold for potential  
9 losses or the capital that's going to be needed to invest  
10 to run an ACO, I think it's a piece we have to think about,  
11 if we should learn that they are actually more successful.  
12 So I think that's a component, which too gets very  
13 complicated on how to help physician groups do that, but I  
14 think that's something we do need to think about.

15           Additionally, ACOs, we're in the land of risk, so  
16 there is something to be said about a sufficient number of  
17 lives in order to really be looking at is this a meaningful  
18 adventure or not.

19           DR. CROSSON: Thank you.

20           David.

21           DR. GRABOWSKI: I think we all know the ACO model  
22 has not been perfect. Yet even in the case of one-sided

1 risk, well-designed studies have suggested savings there,  
2 and I think the savings there don't account for any kind of  
3 spillovers to other patients in those markets. They don't  
4 account for any positive spillovers in terms of setting the  
5 Medicare Advantage benchmarks. So I think when we evaluate  
6 savings comprehensively, I think these programs look better  
7 off than we give them credit for.

8           That said, certainly we can make improvements,  
9 and I really think we're at a crossroads. And I see the  
10 tension here is really between designing models that are  
11 more accountable and are going to generate more short-term  
12 savings versus designing a model that's going to achieve  
13 long-term success. And I think a lot of the tradeoffs you  
14 have up here on the slide, do we want to make these models  
15 in the short term more accountable, yet less attractive to  
16 potential groups to join -- and I agree with being bold,  
17 and I'll say, Craig, that mandatory solves everything, yet  
18 I don't know if we're willing to go there with some sort of  
19 floor. But I find that very exciting.

20           But I also don't want to set up a model where we  
21 know its' going to show savings for participants, yet  
22 nobody wants to join this because it's just to have to get

1 over this huge hurdle, and so how do we strike that  
2 balance?

3 I thinking about how we set up the two-sided risk  
4 or asymmetric risk, Jay, that we consider kind of both, not  
5 just what are going to be the savings, but how can we  
6 attract groups to join these models.

7 I'll finally say -- and I just want to think back  
8 to our discussion around low-value care -- we had a lot of  
9 tools up on a slide yesterday. Our toolkit, though, isn't  
10 that broad, and this is a really nice tool. We all know  
11 that's a huge problem. This is something that works. The  
12 alternatives just aren't there great, and so I'd love to  
13 see us continue to push on this front.

14 Thanks.

15 DR. CROSSON: Thank you.

16 Dana.

17 DR. SAFRAN: Thanks.

18 I mean, I will be brief, and you'll hear me  
19 incorporating in my remarks our experiences because we've  
20 been at this for 10 years on the ground.

21 So the first thing I would say is I would like to  
22 see this chapter very strongly set the tone of expectation.



1 That the evidence so far shows us this is working, but we  
2 have to all expect to keep learning and refining as we go.

3 I say that because I do worry that with mixed  
4 evidence -- and I'll say in a moment, some thoughts about  
5 how do you define whether there are savings relative to  
6 benchmark, relative to the counterfactual. There can be a  
7 lot of confusion, and that confusion can lead those who  
8 would rather stick with the status quo to kind of build a  
9 loud voice that says, "Let's not go there. It's not  
10 working, anyway."

11 And so I think we have to be really decisive in  
12 setting a tone that like the evidence so far is promising  
13 and we can't expect to have solved this with the flip of a  
14 switch. We have to keep learning what the right incentives  
15 are, and it's complex for which groups, et cetera. So I  
16 would say that.

17 I would also say -- and I had this in my notes,  
18 and I was excited to hear Kathy say, "Let's put a line in  
19 the sand and say at this point certain, you won't be able  
20 to participate as a provider in Medicare anymore in a  
21 traditional fee-for-service payment model." I say that  
22 having been out there and in particular one recollection

1 which stands very strongly in my mind when a Secretary in  
2 the previous administration drew the line in the sand and  
3 said, "By 2020, we will have" -- whatever the number was --  
4 50 percent, I think, of payments in these models.

5 I heard CFOs of health care systems from around  
6 the country saying it was the first time that they -- they  
7 used the words "took their heads out of the sand" and said,  
8 "Okay. We have to embrace this now. We have to move  
9 forward."

10 So I really think that like being definitive  
11 about the direction is important, and that's a good way to  
12 get definitive and bold.

13 The second point I would want to make is that I  
14 think we can point to some real optimism that  
15 transformation is happening, and you've got stories about  
16 being out there. I would offer that we should consider for  
17 probably future chapters. It's probably too late for this  
18 one, but we could at least tee up the idea on this one, how  
19 to take a more holistic view of savings.

20 So, first, I think we have to educate folks that  
21 there is the idea of did the ACO earn savings, did the  
22 program get savings from that ACO relative to the

1 benchmark, but the big question is the counterfactual  
2 question. Is this program yielding savings against what  
3 would have happened? And for that, I think you need to  
4 have control groups, but you also need to think about  
5 spillover effects.

6           And I can tell you in our experience, and maybe  
7 this is illustrative, we've seen savings that happen  
8 because of the way that other providers are negotiating  
9 their rate increases with us because of wanting to have  
10 rates that aren't so high that others won't refer to them.

11           So there's spillover savings, and in fact, the  
12 Harvard Medical School team, of course, did a study that  
13 showed that our model, the AQC, was having spillover  
14 savings on Medicare before Medicare launched the ACO. So  
15 there are probably spillovers into Medicare Advantage  
16 because of the way providers are behaving, and I think  
17 finding ways to take a more holistic view of the savings  
18 and to educate about savings relative to the benchmark is  
19 not actually a good measure of whether the program is  
20 achieving savings is a really important thing that we need  
21 to do.

22           A third thing I'll say -- and it's been said --

1 the absence of an impact on inpatient care doesn't mean we  
2 can't have it, and I think Alice was the first one to make  
3 that point this morning, and others have made it too. I  
4 really think that's a very, very important point,  
5 particularly as more and more of provider organizations are  
6 owned by hospitals to do this work. You only have to have  
7 a couple conversations with hospital executive leaders to  
8 know that they are conflicted, and they're very honest  
9 about that, at least behind closed doors. I don't know if  
10 they would be for this kind -- and so I think Jay just made  
11 very passing mention, but I think we should spend some time  
12 on what is a new payment model we can put in place for  
13 hospitals.

14           We're doing some of that work at Blue Cross in  
15 Mass, and we've got a pilot that we just started that I'd  
16 be happy to share some other time because we really think  
17 that you have to fundamentally change the incentive for  
18 hospitals, but what the revenue model looks like if we  
19 really want them to lean in and start to do some of the  
20 reductions in patient care that we haven't seen, not  
21 because it can't be done, but because the incentives aren't  
22 there yet. And there have been other ways to achieve

1 savings first, so why not go for those less painful ones.

2           And then my last point I think I wanted to make  
3 was that our experience around this question of beneficiary  
4 notification has been one where it always seems like the  
5 right thing to do, and yet what happens is what the  
6 Medicare program experience when it tried to do that, which  
7 is you confuse the heck out of beneficiaries, and they  
8 wonder why are you telling me this, and what do I need to  
9 know.

10           I think we didn't feel compelled when people were  
11 in models that were incentivized to overuse care to say,  
12 "By the way, do you know that here in the system, that's  
13 designed to give you as much care as possible, and much of  
14 it will be low value" -- I'm going to pick up your baton  
15 for you, Rita, since you're going to be leaving us -- "and  
16 some of it could hurt you?" We didn't feel any compulsion  
17 to notify beneficiaries of that.

18           So what is it exactly we feel like we have to  
19 notify the beneficiaries about here?

20           DR. NERENZ: That's a very good idea, actually.

21           [Laughter.]

22           DR. SAFRAN: So those are my thoughts.

1 DR. CROSSON: Thank you.

2 Rita.

3 Oh, I'm sorry. You didn't raise your hand.

4 Bruce.

5 MR. PYENSON: Sorry.

6 This is a terrific discussion. I've got four

7 points.

8 Part D is not mentioned at all in this section,  
9 and I'd like to see it exhibited two ways. One way is with  
10 the MedPAC proposal, and the other way is with the status  
11 quo.

12 The ACOs are getting the drug data through CCLF  
13 files, so that's manageable, and the way of attributing  
14 that through the catastrophic is pretty straightforward.  
15 So there's really no excuse not to hold ACOs accountable  
16 for that.

17 The second point is I am struck by the incredible  
18 popularity of ACOs, despite the apparent lack of financial  
19 incentive. However, I think I'd like to see an exhibit of  
20 the financial power of patient referral potential in an ACO  
21 from outside the attributed lives. So I think that shows a  
22 very different financial stream incentivizing the

1 organizations.

2           My third point is that on page 2, there's a  
3 section that identifies the continuously improving nature  
4 of ACOs chasing their tail, in effect, with benchmarks that  
5 are reset based on their own experience, and I find it  
6 highly ironic that the health care industry is complaining  
7 about continuous quality improvement that the rest of the  
8 world's industries have been embracing.

9           And I'd point out in a related line that there's  
10 lots of ways to manage processes and set targets other than  
11 the academically preferred counterfactual approach.

12           My last comment is that one of the barriers to  
13 ACOs is the administrative complexity of the processes,  
14 especially attributed -- the attributable life process.  
15 We've had a recent example where a change of just a few CPT  
16 codes in who gets attributed has wreaked havoc recently.  
17 The importance of stability and getting things right from  
18 an administrative standpoint is real important.

19           Thank you.

20           DR. CROSSON: Rita.

21           DR. REDBERG: Thanks. With regard to those  
22 questions, I do think we should eliminate the threshold --

1 that makes a lot of sense to me -- and have a proportional  
2 policy. And I'll address the other two in a moment.

3           You know, in terms of -- and I think this is  
4 picking up from what Jack had said earlier, but from a  
5 beneficiary point of view, it makes sense to me that  
6 there's not a lot signing up for ACOs because, first of  
7 all, I don't know how many even have heard of an ACO or  
8 know what it is. A lot of physicians don't know what ACOs  
9 are and what's in it for me, you know, where MAs it's quite  
10 clear, you know, they have lower out-of-pocket, they maybe  
11 have vision and dental or other things. Those are not  
12 clear for ACOs, and so I do think we need to think about,  
13 you know, what incentives for beneficiaries, you know, and  
14 we talked about a few, I think, reducing co-payments,  
15 premiums, but -- because I do think there are a lot of  
16 advantages for beneficiaries to be in an ACO overall and  
17 individually, but it has to be a lot more clear if we want  
18 to really have increased interest in it.

19           And then the same point for a specialist and for  
20 doctors, but I'm thinking of specialists, picking up on  
21 what Paul had said, you know, right now I think -- I mean,  
22 there are reasons to prefer certain specialists. They're



1 very different practices. We control a lot of resources.  
2 But I don't think you have those incentives, and I don't  
3 think, again, specialists have a lot of incentives to  
4 participate in an ACO. And I don't know that specialists  
5 are, again, aware of -- even if they are in or not in an  
6 ACO, and they don't seem -- they don't have any particular  
7 education on it, and I don't know that they are sharing in  
8 the savings, even if they are in the ACOs. So, again, I  
9 think that if we do think this is a good model and we want  
10 to move forward with it, and I think it has potential, we  
11 really need to think about the incentives for everyone,  
12 both individually and then for the program.

13           So then getting back to the program, yes, it  
14 makes sense to me to have -- as Craig said, to be bold and  
15 say, you know, one-sided risk, I can't see what's in it for  
16 Medicare and the program moving forward, and asymmetric  
17 risk is kind of, you know, not really two-sided, so I think  
18 it's better to have two-sided risk but with clear  
19 guidelines.

20           And then I just want to agree with Kathy and  
21 Dana's suggestion about getting rid of fee-for-service and  
22 not making it -- you know, ACO has a fee-for-service model,

1 but to get rid of our traditional fee-for-service, which  
2 really just makes incentive for a very high-volume, low-  
3 value system with a lot of unnecessary care, unnecessary  
4 procedures. And in some ways it does solve the MIPS  
5 problem, too, because if everyone has to be in an ACO, we  
6 don't have to worry about that terrible MIPS program. And,  
7 you know, if we make ACOs something attractive for  
8 everyone, I think that would really improve our value-based  
9 payment program.

10 DR. CROSSON: Thank you. I just want to clarify  
11 one point with respect to the asymmetric risk corridor,  
12 particularly in view of your comment, David. I think that,  
13 you know, I completely support the notion, obviously, of  
14 making ACOs attractive. My only point is that in terms of  
15 designing a risk corridor, a two-sided risk program, the  
16 asymmetric way, which was an idea, as I understand it, to  
17 attract, you know, people into ACO models, to my mind it's  
18 not the best way to do that. There's another way I'm quite  
19 familiar with as to how to do that which both provides  
20 coverage on the downside and some -- in exchange for some  
21 limitation of the upside, which can create the same kind of  
22 incentives without the downside of potentially creating

1 higher costs for the program. That was just my point.

2           Okay. Brian, last one.

3           DR. DeBUSK: Last year when we had our ACO  
4 discussion, I think we were using the term "putting your  
5 thumb on the scale" for supporting these models and making  
6 them successful. I'd like to revisit that term because I  
7 would advocate for us being unapologetic. When you talk  
8 about putting your thumb on the scale, what you mean is  
9 spending money. And Jon and Scott were having this  
10 conversation about the launch of Medicare Choice and, you  
11 know, was it more money or was it less money? I don't  
12 think that anyone showed up and said, "Hey, let's  
13 consciously pay these new private plans more money," but  
14 they did get more money to them. I mean, they get more  
15 money to them today through things like the coding  
16 intensity adjustments and things like that. But to me,  
17 there seemed like there are two obvious ways to build some  
18 bias. Again, I'm not advocating for just showing up and  
19 saying, "Congratulations, you started an ACO, here's some  
20 extra money."

21           But I think there are two obvious areas where we  
22 could introduce some biases that would make ACOs more

1 attractive to systems to start them and would de-risk them.  
2 And I'm going to be really careful because one of them is  
3 the asymmetric risk corridors, and after what Jay said, I'm  
4 not going to talk about that.

5 [Laughter.]

6 DR. DeBUSK: If you wanted to -- now I'm just  
7 going to contradict myself. But if you did want to wisely  
8 spend a modest amount of money on some asymmetric risk  
9 corridors, I think they could be cleverly designed, and I  
10 don't think they need to be reckless where there's  
11 virtually no downside and lots and lots of upside.

12 But the other obvious area where we could  
13 introduce some biases -- and this is blatantly putting your  
14 thumb on the scale -- would be in the benchmark. And I  
15 think if we had something that looked like a geographic MA-  
16 style benchmark, and then we also had a historical  
17 benchmark, and we went to these plans and said you have the  
18 discretion, you can use up to 25 percent of this and up to  
19 75 percent of that, you -- give them the discretion. I  
20 think that addresses some of the geographic issues that  
21 we've seen.

22

1           I remember the OIG report that came out, I guess  
2 it's months ago, maybe even last year, on ACOs, and  
3 basically what it looked like was the people who all had  
4 high service use and high costs did well. And, you know,  
5 there's obviously some favorable selection there. These  
6 people aren't jumping into ACOs unless they know they're  
7 going to win.

8           Well, imagine this, though: if you could blend  
9 that benchmark -- and part of it was MA, part of it was  
10 historical -- I think you could eliminate some of that  
11 because what it would do is really create more scenarios  
12 where it would be opportune -- I think more hospitals and  
13 more bodies would look at this and say, oh, I think we can  
14 jump into this because we can make this work if we rely  
15 more heavily on the regional benchmark or on the historical  
16 benchmark. I think it would broaden the appeal.

17           So my point was I hope we could explore some  
18 subtle ways to spend some money -- and, again, I think  
19 that's the part we need to be unapologetic about. We're  
20 launching a new product here. You know, companies don't  
21 launch new products and say, "Oh, gosh, we want this thing  
22 to be profitable day one and it has to generate all this,

1 create a value to the company." When you launch a new  
2 product, you know you're going to spend money. And in a  
3 sense, this is what this is.

4           So, again, I hope we will set aside -- even if we  
5 have to take money from other aspects of the program, find  
6 the money, set the money aside, and let's spend it on  
7 making these ACOs successful.

8           The other thing several people have talked about,  
9 making fee-for-service not an option, you know, and saying,  
10 "Well, is this the end of fee-for-service? Is the ACO the  
11 new standard?" I'd like to propose just a halfway point  
12 there. We already have -- and it's come up today.  
13 Beneficiaries have the opportunity to do a voluntary  
14 attestation to a primary care provider, and obviously, if  
15 the PCP is in the ACO, that rolls over and solves the  
16 attribution issue.

17           Why wouldn't we just tell people unmanaged care  
18 is expensive? If you want -- and I wouldn't even call it  
19 fee-for-service. For me it's called unmanaged care. But  
20 if you want and insist on unmanaged care, why aren't you  
21 paying \$20 or \$30 or even \$40 a month in additional  
22 premium? Why aren't we using premium -- I mean, I

1 appreciate the fact that we have a beneficiary engagement  
2 mechanism now where there are these \$20 payments that we  
3 can make for qualified primary care visits. But I think  
4 ultimately if we don't have some way to engage  
5 beneficiaries through premium, I think we're really giving  
6 up a very valuable lever that we could use.

7           So, again, I hope we can at least revisit that  
8 idea, and I'm not talking about MA and premium support and  
9 bids and all that. I'm just simply talking about a  
10 surcharge on unmanaged care, but, again, which would also  
11 encourage ACOs.

12           And then my final point, we've talked a little  
13 bit about episodic models. I completely agree with the  
14 sentiment that episodic models have no business being  
15 shoulder to shoulder with ACOs or any other type of large-  
16 scale risk aggregation vehicle. No business being shoulder  
17 to shoulder. But I hope we as a Commission would be  
18 willing to explore some ideas where these -- almost like  
19 sub-APMs. Like I would look at the OCM, the Oncology Care  
20 Model really is in some ways a sub-APM. BPCI to me could  
21 be a sub-APM. And I think one of the things that we could  
22 do, if we could help -- you know, an ACO is a large,

1 nebulous thing. If we could help flesh out some models,  
2 almost like sub-routines that could work within the ACO,  
3 and just provide a little bit of a framework, I think  
4 there's an opportunity there not to introduce all these  
5 would-be competitors to ACOs. I think that would be a  
6 horrible mistake. But I think refusing to acknowledge that  
7 episodic models might be there and that we could somehow  
8 integrate them, subordinated to ACOs, I think not at least  
9 exploring that possibility, I think we may be missing a  
10 tool that the MA community and the commercial payers have  
11 already picked up on.

12           That's it. Thank you.

13           DR. CROSSON: Okay. Thank you, Brian, and thank  
14 you, presenters, and thank you, Commissioners, for a really  
15 robust and complete discussion. But it's very important  
16 because I think, as I said in the beginning, it's going to  
17 help our work going forward.

18           So we will now move on to the final presentation  
19 for the day, and the final presentation.

20           [Pause.]

21           DR. CROSSON: Okay. We don't have everybody  
22 back. It seems like the earlier discussion has created a



1 run to the bathroom, but there's not much I can do about  
2 that.

3 [Laughter.]

4 DR. CROSSON: We will get started. Eric is here  
5 to give us a discussion about a variety of managed care and  
6 similar models that deal with the dual-eligible population.  
7 And I want to compliment you, Eric, on a very thorough  
8 analysis.

9 MR. ROLLINS: Thank you.

10 DR. CROSSON: You've got the microphone.

11 \* MR. ROLLINS: All right. Good morning. I'm here  
12 to talk about managed care plans that serve dual-eligible  
13 beneficiaries, who are individuals that qualify for both  
14 Medicare and Medicaid. This presentation builds on last  
15 month's update on the financial alignment demonstration,  
16 which if you'll recall has focused largely on using managed  
17 care to integrate Medicare and Medicaid for dual eligibles.  
18 The material from these two presentations will appear as a  
19 chapter in the Commission's June 2018 report.

20 DR. CROSSON: Eric, excuse me. Could you move  
21 the microphone a little closer? Yeah.

22 MR. ROLLINS: How's that?

1 DR. CROSSON: That works.

2 MR. ROLLINS: Okay.

3 Before I begin, I'd like to follow up on an issue  
4 that Bruce raised at our October meeting during the  
5 presentation on Part D appeals and grievances. Bruce, you  
6 mentioned during the discussion that nursing homes might  
7 have an incentive to encourage their residents to change  
8 drug plans periodically so the residents could continue  
9 receiving 90-day transitional supplies of any non-formulary  
10 drugs. We looked into this a bit and found that only about  
11 4 percent of long-term nursing home residents changed their  
12 Part D plan more than once in 2016, so if this practice  
13 does go on it does not appear to be widespread. As you  
14 know, CMS recently announced that it will shorten the  
15 transition period for enrollees in nursing homes from 90  
16 days to 30 days.

17 I'd like to begin with a brief overview of the  
18 presentation. I'll start by discussing some challenges  
19 that have made it difficult to develop plans that provide  
20 both Medicare and Medicaid services, which we refer to  
21 generically as integrated plans. After that, I'll review  
22 developments in the use of Medicaid managed care that make

1 the development of integrated plans more feasible in many  
2 states. From there, I'll provide an overview of the  
3 various types of Medicare plans that serve dual-eligibles.  
4 Finally, I'll discuss three potential policies that would  
5 encourage the development of integrated plans.

6           Many observers have supported the development of  
7 integrated plans as one way to improve the quality of care  
8 and reduce federal and state spending on dual eligibles.  
9 However, despite their conceptual appeal, only 8 percent of  
10 full-benefit dual-eligibles are currently enrolled in  
11 highly integrated plans. The development of these plans  
12 has traditionally been hindered by several obstacles.

13           First, states had limited interest in integrated  
14 plans because they could not benefit from any of the  
15 Medicare savings that these plans might produce. Second,  
16 initial efforts to develop integrated plans relied entirely  
17 on voluntary enrollment, and plans found it difficult to  
18 generate meaningful enrollment. Third, plans had limited  
19 experience providing Medicaid long-term services and  
20 supports or LTSS, which account for most of Medicaid's  
21 spending on dual eligibles but differ significantly from  
22 traditional medical services.

1           However, the financial alignment demonstration  
2 suggests that policy changes could spur interest in the  
3 development of highly integrated plans. The demonstration  
4 allows states to benefit financially from expected Medicare  
5 savings and to use passive enrollment to help ensure that  
6 plans have sufficient enrollment. A significant number of  
7 states expressed interest in the demonstration, and the  
8 experience to date indicates that many states can develop  
9 highly integrated plans.

10           States' interest in the demonstration is part of  
11 a broader shift towards the use of Medicaid managed care  
12 for dual-eligibles. The centerpiece of this shift has been  
13 the use of managed care plans to provide LTSS. The number  
14 of states that have what are known as managed LTSS or MLTSS  
15 programs has grown rapidly, from 8 in 2004 to 23 today, and  
16 other states are likely to develop them in the future.

17           The share of full-benefit dual-eligibles in MLTSS  
18 plans is still relatively low, roughly 10 percent in 2015,  
19 because many programs do not cover the entire state or  
20 exclude certain types of LTSS users. However, the 23  
21 states that have MLTSS programs account for about 75  
22 percent of all dual-eligibles, so the share enrolled in

1 MLTSS plans could grow substantially as states expand their  
2 programs.

3           One key feature of Medicaid is that states can  
4 require individuals to enroll in managed care plans to  
5 receive services, and many states with MLTSS programs now  
6 have mandatory enrollment for at least some dual-eligibles.  
7 In these cases, the development of plans that provide both  
8 Medicare and Medicaid services is likely the most feasible  
9 way to better integrate the two programs.

10           Using managed care to better integrate Medicare  
11 and Medicaid is a broad concept that can be implemented in  
12 numerous ways, and Medicare has four types of plans that  
13 serve dual-eligibles. The first type of plan is the  
14 Medicare Advantage dual-eligible special needs plan, or D-  
15 SNP, which is an MA plan that is open to dual-eligibles  
16 only and has a Medicaid contract that meets certain  
17 requirements. The second type is the fully integrated  
18 dual-eligible special needs plan, or FIDE SNP, which is a  
19 D-SNP that meets additional requirements for closer  
20 Medicaid integration.

21           The third type is the Medicare-Medicaid Plan, or  
22 MMP, which is part of the financial alignment

1 demonstration. The last type is the Program of All-  
2 Inclusive Care for the Elderly, or PACE, which is a  
3 provider-sponsored plan that aims to keep frail  
4 beneficiaries who live in the community from entering  
5 nursing homes. Unlike the other three plans, PACE is open  
6 to all Medicare beneficiaries who meet its eligibility  
7 requirements, but in practice almost all of its enrollees  
8 are dual-eligibles.

9           The next slide compares some key features of  
10 these different plans. For this comparison, we've split  
11 the D-SNPs into two groups: those that have the FIDE SNP  
12 designation and those that don't, which we refer to as  
13 "regular D-SNPs." The figures in this table have been  
14 revised slightly from the mailing materials because we  
15 received some updated information about which plans are  
16 FIDE SNPs. As you can see, MMPs are part of a  
17 demonstration while the other plans are permanently  
18 authorized. However, CMS is using its CMMI authority to  
19 conduct the demonstration, so MMPs could potentially become  
20 permanent in the future.

21           Regular D-SNPs are the most widely used plan.  
22 They are available this year in 40 states and the District

1 of Columbia and have about 1.7 million enrollees. In  
2 contrast, just 9 states have FIDE SNPs, and 3 states,  
3 Massachusetts, Minnesota, and New Jersey, account for about  
4 75 percent of the overall enrollment. Less than 10 percent  
5 of all D-SNP enrollees are in FIDE SNPs. There are a  
6 similar number of FIDE SNPs and MMPs, but overall  
7 enrollment in MMPs is more than twice as high, partly  
8 because MMPs can use passive enrollment but FIDE SNPs  
9 cannot. Finally, PACE plans are available in 31 states,  
10 but they are typically small and total enrollment is fairly  
11 low.

12           These plans differ in many respects, such as  
13 contracting structure and payment methodology, that are  
14 discussed in the mailing materials. I'm not going to  
15 review them all here, but I would like to focus on one key  
16 difference, which is the level of integration between  
17 Medicare and Medicaid in each plan. We consider plans to  
18 be more highly integrated if they provide a broad range of  
19 Medicaid services and have been able to streamline various  
20 administrative functions such as the enrollment process and  
21 member materials. The level of integration in regular D-  
22 SNPs is generally low but varies widely, while the other

1 three plans are all highly integrated. We'll explore this  
2 a bit further on the next slide.

3           This slide lists the four plans in order from  
4 least integrated to most integrated. Let's start with  
5 regular D-SNPs. Since 2013, Medicare has required all D-  
6 SNPs to have a Medicaid contract that satisfies certain  
7 minimum standards. These standards do not require much  
8 integration between the D-SNP and Medicaid. For example,  
9 D-SNPs do not have to provide any Medicaid benefits on a  
10 capitated basis, although their contract must describe the  
11 steps they will take to ensure that those services are  
12 provided.

13

14           But states can go beyond these minimum standards  
15 if they wish, so there is also a fair amount of variation  
16 among regular D-SNPs. For example, a state might require  
17 its D-SNPs to provide some Medicaid acute care services,  
18 such as payment of Medicare cost sharing or dental  
19 services. Several states have gone even further by  
20 requiring the sponsors of their MLTSS plans to offer  
21 companion D-SNP products so that dual-eligibles have the  
22 option of receiving all of their Medicare and Medicaid



1 services from the same company.

2           FIDE SNPs are more highly integrated than regular  
3 D-SNPs because they must provide some Medicaid acute care  
4 services and LTSS, although they are not required to  
5 provide behavioral health. FIDE SNPs must also take steps  
6 to integrate administrative functions such as the  
7 enrollment and care assessment processes. The level of  
8 integration in MMPs is higher still because they cover all  
9 or almost all Medicaid services, use a single care  
10 coordination process, and have more flexibility to  
11 integrate their administrative functions. Finally, PACE is  
12 a completely integrated program because its plans are  
13 required to provide all Medicare and Medicaid services.

14           One potential drawback to having multiple types  
15 of plans is that they could interact in ways that undermine  
16 efforts to promote greater Medicare-Medicaid integration.  
17 Given the limited role of PACE, this concern largely  
18 applies to D-SNPs and MMPs. Every state that has MMPs also  
19 has, or had, D-SNPs, and having both plans in the same  
20 market has sometimes been problematic.

21           CMS uses two different methodologies to calculate  
22 the payment rates for D-SNPs and MMPs, and about two-thirds

1 of MMP enrollees now live in counties where MMP rates are  
2 generally lower than D-SNP rates. This may give plan  
3 sponsors an incentive to favor the less-integrated D-SNPs.

4           Competition between D-SNPs and MMPs has been an  
5 issue in three states we visited. California had a large  
6 number of D-SNPs prior to the demonstration, and the state  
7 promoted MMPs by transferring beneficiaries from D-SNPs to  
8 MMPs offered by the same company and freezing enrollment in  
9 other D-SNPs.

10           However, these policies have been opposed by many  
11 plan sponsors and enrollment brokers, who cannot receive  
12 commissions from MMPs. They have responded by diverting a  
13 significant number of dual-eligibles into regular MA plans  
14 that are targeted at dual-eligibles and known as "look-  
15 alike" plans. In New York, the MMPs serve the same  
16 population as an existing group of FIDE SNPs, which has led  
17 to confusion about each plan's role.

18           In addition, several companies offer both types  
19 of plans but may receive higher Medicare rates for the FIDE  
20 SNP. Finally, in Texas, the companies that sponsor MMPs  
21 also offer D-SNPs in the same counties. The state proposed  
22 phasing out these D-SNPs in favor of the MMPs but later

1 abandoned this idea due to opposition from the plans.

2           Stepping back a bit now, the development of  
3 integrated plans for dual-eligibles obviously requires the  
4 involvement of both state and federal policymakers. With  
5 the growth in MLTSS programs, many states now use  
6 capitation to pay for the services that account for the  
7 bulk of Medicaid's spending on dual eligibles, and are thus  
8 in a good position to develop integrated plans. At the  
9 federal level, Medicare has taken incremental steps to  
10 develop integrated plans, but this has resulted in an array  
11 of plan types that differ in various respects and could  
12 increasingly compete with each other if the MMP model  
13 becomes permanent.

14           Given this context, federal policymakers may want  
15 to reassess the role of the Medicare plans that serve dual-  
16 eligibles and consider new policies to encourage the  
17 development of highly integrated plans. This effort could  
18 involve consolidating some existing plans or giving each  
19 plan a more clearly defined role. This is a complex topic  
20 and more work would clearly be needed, but I'd now like to  
21 outline three potential policies that could be a set of  
22 "first steps" in this area: limiting how often dual

1 eligibles can switch plans, limiting enrollment in D-SNPs  
2 to full-benefit dual-eligibles, and expanding the use of  
3 passive enrollment.

4           Unlike most Medicare beneficiaries, who can  
5 normally change their MA or Part D plan once a year, dual-  
6 eligibles, until just this week, have been able to switch  
7 plans on a monthly basis. This slide gives you a sense of  
8 how often dual-eligibles change plans compared to other  
9 beneficiaries. The table shows how often the two groups  
10 voluntarily changed plans in 2011 and 2016, and does not  
11 count instances where fee-for-service beneficiaries changed  
12 their standalone Part D plan.

13           If you look at the two columns for 2011, starting  
14 at the top, you can see that the share of beneficiaries who  
15 changed plans at least once was about the same for dual-  
16 eligibles and other beneficiaries, between 6 and 7 percent.  
17 However, at the bottom of those columns, you can also see  
18 that dual-eligibles were between 4 and 5 times more likely  
19 to make multiple changes.

20           If you compare the 2011 columns and the 2016  
21 columns, you can see that the share of dual-eligibles who  
22 change plans has increased. Focusing on the first row of

1 the table, the growth was particularly large in counties  
2 where the financial alignment demonstration is taking  
3 place, with the share making at least one change rising  
4 from 6.8 percent to 14.7 percent. This isn't entirely  
5 surprising given the use of passive enrollment and the  
6 large numbers of dual-eligibles who have disenrolled from  
7 MMPs.

8           However, there was also a noticeable increase for  
9 dual-eligibles in counties that aren't part of the  
10 demonstration. In contrast, when you look at the second  
11 row of the table, there was relatively little change in the  
12 behavior of other Medicare beneficiaries during this  
13 period.

14           The rules that allow dual-eligibles to switch  
15 plans on a monthly basis had been in effect since 2006 and  
16 were originally created as a beneficiary protection, to  
17 ensure that dual-eligibles who had difficulty seeing  
18 certain providers or obtaining treatment could change  
19 plans. However, the benefits of this policy may no longer  
20 outweigh the drawbacks. MA plans now have much more  
21 experience with dual-eligibles than they did over a decade  
22 ago, and improvements to CMS's risk-adjustment system have

1 reduced concerns that plans would avoid serving sicker  
2 beneficiaries. At the same time, allowing dual-eligibles  
3 to switch plans every month makes it harder for plans to  
4 provide care coordination.

5           One way to promote integrated plans would be to  
6 limit how often dual-eligibles can switch plans. This  
7 change would make enrollment in plans like D-SNPs and MMPs  
8 more stable and facilitate care coordination. CMS just  
9 issued a final rule that will limit how often dual-  
10 eligibles could change plans. Under the rule, dual-  
11 eligibles will be allowed to make one additional plan  
12 change per calendar quarter during the first nine months of  
13 the year, on top of the standard MA and Part D rules for  
14 changing plans that apply to all beneficiaries. This  
15 change will probably not have a significant impact on dual-  
16 eligibles since the number of beneficiaries who change  
17 plans that often is low.

18           The second policy involves partial-benefit dual-  
19 eligibles, whose Medicaid coverage is limited to payment of  
20 Medicare premiums and, in some cases, cost sharing. Most  
21 states allow partial-benefit dual eligibles to enroll in D-  
22 SNPs, and they account for about a quarter of all D-SNP

1 enrollees. In contrast, partial-benefit dual-eligibles  
2 cannot enroll in a FIDE SNP or an MMP and almost none are  
3 enrolled in PACE.

4           Given their limited Medicaid coverage, partial-  
5 benefit dual-eligibles may not need a specialized MA plan  
6 like a D-SNP. The challenges of coordinating Medicare  
7 coverage of acute care and prescription drugs with Medicaid  
8 coverage of services like LTSS simply does not exist for  
9 this population.

10           Policymakers may thus want to consider limiting  
11 enrollment in D-SNPs to dual-eligibles who qualify for full  
12 Medicaid benefits. This change would promote the  
13 development of integrated plans because it would require D-  
14 SNPs to focus their efforts on the dual-eligibles who stand  
15 to benefit the most from greater Medicare-Medicaid  
16 integration. It would also be consistent with the  
17 Commission's 2013 recommendation that the authorization for  
18 D-SNPs should apply only to plans that are clinically and  
19 financially integrated with Medicaid. In addition,  
20 limiting enrollment in D-SNPs to full-benefit dual-  
21 eligibles would make the eligibility criteria for D-SNPs  
22 and MMPs more similar, which would make it easier to

1 consolidate these plans in the future.

2           The third policy would be to expand the use of  
3 passive enrollment. This would support greater integration  
4 because it would encourage more dual-eligibles to receive  
5 their Medicare and Medicaid services from the same company,  
6 either by enrolling in a single plan like an MMP or  
7 enrolling in a D-SNP and a companion Medicaid plan.

8           There are a number of ways that passive  
9 enrollment could be used. One variant is "seamless  
10 conversion," where individuals who are enrolled in Medicaid  
11 plans would be passively enrolled in a D-SNP or MMP offered  
12 by the same company when they qualify for Medicare. Three  
13 states, Arizona, Tennessee, and Texas, are now using  
14 seamless conversion and have found that opt-out and  
15 disenrollment rates are low.

16           In 2016, CMS had put a moratorium on new requests  
17 to use seamless conversion in MA plans, but it announced  
18 earlier this week that it will allow seamless conversion to  
19 be used for certain D-SNPs. Interest in seamless  
20 conversion has been growing because many states with MLTSS  
21 programs require their plans to offer a companion D-SNP.

22           Seamless conversion would not affect many dual



1 eligibles, such as those who start as Medicaid enrollees  
2 but are not in a plan when they qualify for Medicare, or  
3 those who qualify for Medicare before they qualify for  
4 Medicaid. Passive enrollment could also be used for some  
5 of these beneficiaries, but policymakers would need to  
6 decide which plans would be eligible for passive enrollment  
7 and when beneficiaries would be able to opt out or  
8 disenroll.

9           That brings us to the last slide of the 2017-2018  
10 meeting cycle, where I'd like to offer some possible topics  
11 for discussion. We would like your feedback on the three  
12 policies that I outlined in this presentation.

13           First, should there be limits on how often dual-  
14 eligibles can change plans? In 2008, the Commission  
15 recommended that dual eligibles should only be able to  
16 switch plans during the annual open season. However, as  
17 part of the recommendation, dual-eligibles could still  
18 switch to fee-for-service coverage or enroll in a D-SNP at  
19 any time. The Commission could revisit this issue given  
20 how the use of managed care for dual-eligibles has evolved  
21 since then. For example, the exception for fee-for-service  
22 coverage may no longer be needed and the exception for D-

1 SNPs could be narrowed so it applies only to highly  
2 integrated plans such as FIDE SNPs or MMPs.

3           Second, should enrollment in D-SNPs be limited to  
4 full-benefit dual-eligibles? This change would make the  
5 eligibility rules for regular D-SNPs more similar to those  
6 for FIDE SNPs and MMPs, and would make it easier to  
7 consolidate the plans in the future.

8           Third, should passive enrollment be used more  
9 broadly, and if so, under what circumstances? CMS recently  
10 announced that certain D-SNPs will be able to use seamless  
11 conversion, but are there other situations where passive  
12 enrollment could be appropriate? For example, should  
13 states be allowed to use passive enrollment for plans such  
14 as FIDE SNPs?

15           Finally, we would also like to know your level of  
16 interest in any other future work related to the financing  
17 and delivery of care for dual-eligibles.

18           Thank you for your time. I will now be happy to  
19 take your questions.

20           DR. CROSSON: Eric, thank you for this excellent  
21 work and presentation.

22           Before we begin the discussion, though, I would

1 like to take a moment. As you pointed out, this is not  
2 only the last presentation and the last slide, but it's the  
3 end of our work year. And as it often happens, we have  
4 Commissioners who are leaving after having completed six  
5 years of really very hard work to be members of this  
6 Commission, and each one of these individuals has been a  
7 significant contributor to our work. And the contributions  
8 that they have made will have a lasting impression not just  
9 on the Commission but on the future of Medicare policy.

10           So I'd like the five individuals to stand and be  
11 recognized for a moment, please. Alice Coombs, Jack  
12 Hoadley, David Nerenz, Rita Redberg, and Craig Samitt.  
13 Thank you for your work.

14           [Applause.]

15           DR. CROSSON: Okay. So we'll proceed with  
16 clarifying questions, and, Jon, I'm going to ask you to  
17 lead that for a moment.

18           DR. CHRISTIANSON: Any hands for clarifying  
19 questions?

20           This was really clear.

21           MS. WANG: Eric, when you pose the question about  
22 whether D-SNP should be restricted to full duals because it

1 would promote integration, how do you see that happening  
2 exactly? Why would it promote that?

3 MR. ROLLINS: I think -- so right now, where  
4 you've got a lot of your sort of regular D-SNPs that aren't  
5 very highly integrated with Medicaid, if you want to sort  
6 of consider ways that you can get those plans to offer a  
7 broader array of Medicaid services, the partial-benefit  
8 dual eligibles are really not part of that discussion.  
9 They're not implicated in that, and I think in some ways,  
10 they're kind of a distraction to efforts to try and sort of  
11 raise the bar for integration and sort of plans for full-  
12 benefit dual eligibles.

13 And given what Medicaid does for them, it's not  
14 clear that they need a plan like a D-SNP. They may be just  
15 as well served in a regular MA plan, and I think this was  
16 in the mailing materials.

17 Most of the ones who are in MA are actually in  
18 regular plans. I think about two-thirds of them were just  
19 in a traditional Medicare Advantage plan, and only about a  
20 third are in a D-SNP.

21 MS. WANG: But just to clarify, just because a  
22 full-benefit dual is in a D-SNP, even if that organization

1 wanted to create an integrated program that included long-  
2 term care for those who were eligible, they'd still have to  
3 set up a separate program and plan to be approved both by -  
4 - I mean, you can't take a D-SNP and just sort of say, "Oh,  
5 now I'm doing integrated care as well," can you? I mean,  
6 that's why the FIDE SNP designation exists.

7 MR. ROLLINS: You don't have to create a separate  
8 plan to become a FIDE SNP. You can evolve over time, and  
9 there are a number of FIDE SNPs now that started originally  
10 as just regular D-SNPs, but over time, the state expanded  
11 its sort of Medicaid responsibilities for those plans.

12 MS. WANG: So, in those situations, can that FIDE  
13 SNP also have D-SNP members who don't qualify for the MLTSS  
14 benefit? I mean, those who qualify for the MLTSS benefit  
15 are quite a subset of full duals.

16 MR. ROLLINS: They are, and there are FIDE SNPs  
17 that serve a broader array of dual eligibles. They don't  
18 just have to be those who at that moment in time need LTSS,  
19 but there is the understanding that they may have  
20 beneficiaries, for example, who are at risk of needing LTSS  
21 in the future.

22 MS. WANG: I suspect it's still quite a subset of

1 the whatever million-plus who are enrolled in D-SNPs.

2 Don't you think?

3 MR. ROLLINS: Who --

4 MS. WANG: Who are qualified for MLTSS or on the  
5 verge of that you could identify as saying this person is  
6 about to need MLTSS. I mean, there are --

7 MR. ROLLINS: Yes. I think it is a subset.

8 MS. WANG: People call them "well duals." I  
9 don't like that term. They're not well, but they may not  
10 be eligible for MLTSS services.

11 MR. ROLLINS: Right.

12 DR. CHRISTIANSON: Other clarifying questions?

13 MR. PYENSON: Eric, thank you.

14 Is it clear that the MA portion saves the federal  
15 government money relative to fee-for-service in some areas  
16 where the benchmark might be lower, significantly lower  
17 than fee-for-service, or is there something else that might  
18 be going on with the MA -- with the dual eligibles in those  
19 areas?

20 MR. ROLLINS: Is your question specifically to  
21 the duals in MA or sort of an MA --

22 MR. PYENSON: The duals in MA. So is this a --

1 is it a good thing for the federal budget?

2 MR. ROLLINS: I think that depends partly on --  
3 as you know, given the quartile system, it's going to  
4 depend partly on what counties you're talking about. But  
5 to the extent that you are talking about counties that do  
6 have benchmarks that are 95 percent or fee-for-service  
7 costs, those are probably areas where having duals in  
8 Medicare Advantage does save some money for the federal  
9 government.

10 Obviously, you would need to account for quality  
11 bonuses, up-coding, things like that, but on balance,  
12 there's probably some savings in those counties. Other  
13 counties, there may not be.

14 DR. CHRISTIANSON: Kathy.

15 MS. BUTO: Just to follow on that question, when  
16 he says the federal government, he means the federal share  
17 of Medicaid as well, right? So I think you'd have to  
18 account for whether there's savings on the Medicaid side as  
19 well as the Medicare side.

20 MR. ROLLINS: You would. I don't think we have a  
21 clear picture of what the interaction between what goes on,  
22 on the Medicare Advantage side, and how that spills over or

1 doesn't spill over to your Medicaid service use.

2           The theory, of course --

3           MS. BUTO: Yeah.

4           MR. ROLLINS: -- is that with an integrated plan

5 --

6           MS. BUTO: That's the theory, yeah.

7           MR. ROLLINS: -- that's a theory.

8           MS. BUTO: That's the whole premise.

9           MR. ROLLINS: Right.

10           MS. BUTO: So my question was, how stable is dual  
11 eligible population? In other words, once an individual  
12 qualifies for Medicaid or comes into Medicare as a Medicaid  
13 recipient, is that individual likely to be dual for life?  
14 Do we know?

15           MR. ROLLINS: They will likely -- a large  
16 majority are likely to be dual eligible for a long period  
17 of time. Having said that, I want to say something like  
18 over the course of a year of your dual eligibles, 80 to 90  
19 percent are dual eligible all 12 months.

20           That being said, it's a minority, but one issue  
21 that we have heard a lot about on our site visits to the  
22 plans that are participating in the demonstration is there



1 are a subset of dual eligibles who are dual for one period  
2 of time, and then they will lose their Medicaid  
3 eligibility. Then they will just be Medicare-only for a  
4 period of time. They'll get back on Medicaid. So there is  
5 a subset that sort of cycles on and off, and in a lot of  
6 cases, that is driven by the state does periodic  
7 redeterminations of their Medicaid eligibility, and it's  
8 not so much the -- some of them may no longer truly qualify  
9 for the program, but there seems to be a lot of cases where  
10 it's simply they didn't realize that they had to submit a  
11 form or provide more information to sort of stay on  
12 Medicaid. So it's sort of an inadvertent loss of  
13 eligibility.

14 MS. BUTO: So you don't think that's a big factor  
15 in considering -- sort of analogous to the question you  
16 raised about partial duals, that those individuals would  
17 create some instability or it would be difficult? Because  
18 they're fully dual while they're dual eligibles, right, for  
19 that D-SNP to be more compatible with the MMPs? It's not a  
20 big factor in your --

21 MR. ROLLINS: Well, I think to the extent that  
22 you do have -- you can look at it on a couple of levels.

1 So you have this -- to the extent that you have people  
2 moving in and out of full Medicaid eligibility, one change  
3 that you could consider, which is not in our Commission's  
4 purview -- it might be more of a MACPAC issue -- is would  
5 you want to have more situations where some dual eligibles  
6 are guaranteed to remain eligible for a 12-month period at  
7 a time, and so you reduce the number of times where you  
8 could have these occasions where they lose their  
9 eligibility, possibly just because they didn't turn in  
10 their paperwork.

11           Within the Medicare program, the way that D-SNPs  
12 handle this now is if you lose your Medicaid eligibility,  
13 there is a grace period in which you can remain enrolled in  
14 the plan if there is an expectation that you will at some  
15 point regain your eligibility, and so that could be a way  
16 to sort of handle that issue without having eligibility for  
17 partial-benefit duals broadly.

18           DR. CHRISTIANSON: Any further clarifying  
19 questions?

20           [No response.]

21           DR. CHRISTIANSON: Jack and David are going to  
22 lead our discussion. Do you guys have any preference of

1 who starts?

2 [No response.]

3 DR. CHRISTIANSON: Okay. David?

4 DR. GRABOWSKI: Thanks.

5 First, Eric, thanks for a great chapter, and I  
6 think this is a critically important area. These are  
7 obviously among our frailest and most vulnerable  
8 beneficiaries in the Medicare program.

9 There's an incredible disconnect between their  
10 Medicare services and their Medicaid services and that  
11 leading to fragmentation and the higher spending, lower  
12 quality of care, so real opportunities with these  
13 integrated plans.

14 Before taking on your questions, I wanted to say  
15 a little bit about the regular dual eligible SNPs. The  
16 question is often posed: What's so special about dual  
17 eligible special needs plans? And the unfortunate answer  
18 for those regular plans is not much. They're not so  
19 special. They do a really poor job of integrating Medicare  
20 and Medicaid services. Often, they have a very nominal  
21 sort of case management function that links between the two  
22 plans, but by and large, they don't really offer an aligned

1 integrated product.

2           So in addition to the ideas that you put up here,  
3 I would love to see us think about how can we move those  
4 1.7 million individuals. I realize a quarter of them are  
5 partial duals, but many of them, three-fourths of them, are  
6 full duals. How do we think about pushing them towards a  
7 more integrated product? Is that moving those regular dual  
8 eligible special needs plans towards becoming fully  
9 integrated dually eligible special needs plans? I'd love  
10 for us to think about that as a Commission. Are there  
11 other levers that we might use here, recommendations,  
12 towards actually making that an integrated product?  
13 Because the number of full duals that are in regular D-SNPs  
14 is double the number of all those other models you had up  
15 on that slide.

16           So I think we're leaving a lot on the table  
17 there. A lot of beneficiaries have joined these models,  
18 but they're not actually getting integrated care. So  
19 that's my rant on regular D-SNPs.

20           Let me take on your questions. Should we limit  
21 the switching of plans? I think, sure, that's fine. My  
22 one concern in the work we've done, if you're going to

1 passively enroll individuals into these models, I think you  
2 have to be really careful to give them a grace period.  
3 Obviously, they have the opportunity to opt out, but as you  
4 know, there's been a big disenrollment in the early period  
5 as the beneficiary realizes that they're in a new plan that  
6 they've been passively enrolled.

7           So I would give the beneficiaries a grace period  
8 when they've been passively enrolled, but I'm okay with  
9 kind of limiting the switching across plans.

10           Towards your second question, should partial-  
11 benefit dual eligibles be able to enroll in D-SNPs, I  
12 actually like the idea of having them in those plans  
13 because I think it's an On Ramp as they move back and forth  
14 between being a partial dual and a full dual and maybe  
15 ultimately a full dual being a part of those plans. So I'm  
16 okay with having the partial duals in those plans.

17           And finally, when is passive enrollment  
18 appropriate, I do like the use of seamless conversion, and  
19 I do like the use of passive enrollment in the FIDE SNPs.  
20 I think we should be sort of broader in our use of passive  
21 enrollment. However, the work we've done on the Demo plans  
22 under the Financial Alignment Initiative suggests design

1 really matters here, as it does in all of health care, but  
2 passive enrollment is not passive enrollment. It's not  
3 passive enrollment, and so you raised some great points in  
4 the chapter, Eric, about how to do that in a smart way.  
5 And I think we really want to think about that going  
6 forward.

7           Finally, you didn't ask it as a form of a  
8 question, but I have tremendous interest in this topic, so  
9 I hope the Commission will continue to work on this. One  
10 area, I'll just point out quickly. I don't think we know a  
11 lot about the FIDE SNPs. Why do plans convert? What are  
12 those plans, and how could we think more broadly about  
13 those plans as a more national model? They're very  
14 isolated in certain states.

15           So as a part of a future chapter, I would love to  
16 see us explore that model and how we might think about  
17 broadening its application to other markets.

18           Thanks.

19           DR. MATHEWS: David, if I could just ask a  
20 clarifying question. You would be okay with continuing to  
21 allow partial-benefit duals to enroll in D-SNPs even if at  
22 the same time we started to make a push for those D-SNPs to

1 become more integrated products?

2 DR. GRABOWSKI: That's right.

3 So if we could -- so those two comments, thank  
4 you, Jim. We're in conflict. Thanks. It's our last  
5 meeting, Jim. We were getting along so well before this.

6 [Laughter.]

7 DR. GRABOWSKI: I think the latter part of that  
8 is more important of getting these plans converted to full  
9 FIDE SNPs, but I just think these partial duals, if we're  
10 going to continue with D-SNPs, I would love to not exclude  
11 those individuals. It's a quarter of the enrollees. I  
12 just think eventually, those individuals are going to be  
13 full duals, and so how do we think about that? I think we  
14 are going to consolidate. Then, obviously, we've got to  
15 stick with the full duals, but thanks.

16 DR. CROSSON: Jack.

17 DR. HOADLEY: Thank you, and thank you for a  
18 great chapter, Eric.

19 On the last point on the slide on sort of the  
20 interest in the topic, I think it really is an important  
21 topic, and I think we don't probably spend enough time  
22 talking about the dual eligibles in general for reasons

1 that not all of what they get from the federal government  
2 is in our purview. But I think we do have a piece of it in  
3 Medicare, and I think it's important. I think some of the  
4 comments that David made are very apt there.

5 I agree. I don't know a lot about the FIDE SNPs,  
6 like you said the incentives from the plan side of sort of  
7 what gets you to try to do this. I know more about the  
8 MMP, and of course, that was an explicit demonstration to  
9 try to do this.

10 I want to take one small step backward and talk  
11 about some observations I've made in looking at mandatory  
12 Medicaid managed care, not specifically the long-term  
13 support and services side of it, because I haven't looked  
14 myself specifically at that, but the more traditional non-  
15 dual Medicaid. But I think some of the issues are there,  
16 and I'd just make four quick points on that.

17 You mentioned when you talked about the LTSS  
18 plans that a lot of states do these things through a  
19 competitive procurement process, and that's one of the  
20 things that's different than Medicare, where we sort of let  
21 any organization that wants to come in and be an MA plan  
22 can do it as long as they meet sort of basic standards.



1 But states typically do a competitive procurement where  
2 they try to select often three or four organizations to  
3 offer managed care to their Medicaid enrollment, whether  
4 it's the MLTSS or the regular Medicaid.

5           And one of the results of that that I've seen in  
6 some work is that that can lead to pretty substantial  
7 turnovers. When they do a new procurement, they may have a  
8 situation where existing three plans lose the procurement  
9 or don't apply, and you have a brand-new set of plans or  
10 one out of three or two out of four, whatever it might be.  
11 And that can be very disruptive, and I think these are  
12 things that I think have some implications, both to how we  
13 think about some of the things around passive enrollments  
14 and some of that, so that's why I'm bringing some of these  
15 things up. But there can be really pretty substantial  
16 disruption when you have those turnover periods.

17           There's also, I think, been to some extent in  
18 Medicaid -- and I hope this is getting better, but plans  
19 that come into the Medicaid managed care market without a  
20 particularly good track record or particular experience  
21 serving the population, and hopefully, states, as they look  
22 at their -- particularly as they look at their MLTSS, are

1 paying more attention to that. But I think there's been  
2 some issues there as well of plans that come in, don't  
3 really know how to deal with the needs of the particular  
4 populations they're dealing with.

5           Generally, care coordination is part of what's  
6 going on with any of these Medicaid managed care plans,  
7 presumably any managed care plans in general, and I think  
8 I've mentioned this in other discussions, but sometimes the  
9 plan care coordination is duplicative of care coordinations  
10 that's already gone on with FQHCs or clinics or safety net  
11 hospitals or other provider organizations that are serving  
12 the duals. Unless it's a provider-based managed care  
13 entity, sometimes you get multiple layers of care  
14 coordination, and you start to need somebody to coordinate  
15 the coordinators.

16           And then, finally, provider network issues, there  
17 have been a number of cases where these Medicaid plans  
18 trying to maintain ability to win a procurement with a low  
19 bid accomplish that by having relatively narrow networks  
20 that has implications, and again, I'll talk in a second  
21 about the playoff, again, some of the enrollment issues.

22           So I think those are just some things. There's

1 obviously some positive things you could talk about as  
2 well, but those are some of the sort of problems that have  
3 come up at times within the Medicaid managed care world,  
4 some of which I've seen also in the MMPs and some of the  
5 other places that do involve duals.

6           So going to your questions on here, the first on  
7 limiting when duals can change plans, I still have concerns  
8 about loosening the current -- well, until recently, the  
9 current protections that allow duals to disenroll pretty  
10 much at any time and the notion that it is a beneficiary  
11 protection. And I think it's still important, and the fact  
12 that you show relatively low levels of disenrollment,  
13 hopefully when people are doing it, they're doing it  
14 because there's a reason. And I reflect back to those  
15 problems we've seen with narrow provider networks or narrow  
16 formularies or other kinds of things that people get into  
17 the plan. They start to go to use services, and they  
18 realize that the doctors they've been seeing aren't in the  
19 network or whatever.

20           Now that CMS has taken a step towards more  
21 limitations, I guess what I would want to say is let's not  
22 look at anything more extensive than what CMS has already

1 done to narrow that right to disenroll and maybe wait and  
2 get some reactions from the beneficiary community, some of  
3 the advocates, folks like Medicare Rights Center, Center  
4 for Medicare Advocate, who talk to beneficiaries on their  
5 call centers and get those kinds of things and see if we're  
6 running into issues there.

7           On the partial-benefit duals being able to enroll  
8 in D-SNPs, I came into this not being sure how to react,  
9 and I'm struck by David's -- and I'm kind of inclined to  
10 agree that maybe there is some potential benefit there.  
11 These people have signed up for a reason. Again, maybe we  
12 could talk to them in some way and find out what it is they  
13 saw -- was it some kind of marketing that was done? Was it  
14 they were looking for something that was more attuned to  
15 their needs? Why are they in it? What do they think  
16 they're getting out of it? -- and think about that. So I  
17 don't know that I'd be quick to agree to try to scale back  
18 that enrollment, but I'm really open to hearing more about  
19 that issue or at least having those of you who stay on hear  
20 more about it.

21           And then on the passive enrollment, I've always  
22 been a person who's concerned about passive enrollment in

1 part because I'm unhappy with rules that have greater  
2 restrictions on a dual eligible on a low-income Medicare  
3 beneficiary than we put on all beneficiaries.

4           It's not that I want to go the other direction  
5 and put more passive enrollment on the entire population,  
6 but the idea of sort of picking out this group and saying,  
7 "Well, we're going to decide what's best for you, what plan  
8 we want to put you in," even though we don't do that for  
9 beneficiaries in general, that concerns me.

10           The seamless enrollment, if it's done sensibly --  
11 and I think I have less concerns about -- I'm more open to  
12 that. I still have some concerns. I'm concerned in some  
13 cases where if the principle of seamless enrollment is  
14 you're already enrolled in a plan with Company X and we can  
15 find an MA plan offered by Company X, sometimes Company X  
16 is kind of almost an umbrella organization that's acquired  
17 a subsidiary that runs Medicaid managed care plans, and  
18 their Medicare plans are not run by that part of the  
19 organization. So there are situations where it may not be  
20 as clean as it sounds when it's in the best situations, but  
21 I'm more open to that. I'm less open to the other types of  
22 passive enrollment in the FIDE SNPs or whatever.

1           And I think -- and, David, you brought this up.  
2 Trying to do a better job of how we do passive enrollment,  
3 if we're going to do it, the MMP, the financial alignment  
4 demo has tried to do, various terms are used, "intelligent  
5 assignment," and often they didn't really have the tools to  
6 do that. So in the concept, they wanted to put you in the  
7 plan that already had your providers, but maybe the state  
8 didn't know who your providers were because they didn't  
9 have adequate access to the Medicare claims records to see  
10 what doctors are you using, what hospitals have you been  
11 going to for your care.

12           If we can do a better job of aligning people --  
13 but I've seen cases -- and again, this is not for the  
14 senior population, but for the general Medicaid population  
15 where people had five providers, maybe none of the plans  
16 had all five, but they might have been assigned to one that  
17 had one or none of their five providers, and then it takes  
18 a period of time to figure that out. Maybe you don't see  
19 some of those providers for three or six months, and you  
20 all of a sudden discover when you go there, oh, my  
21 goodness, they're not covered. And now you've got to  
22 figure out what to do about it, so it links back to that

1 changing plans kind of thing.

2           So I'm really happy we're taking on this issue.  
3 I think these are topics -- all the topics you have here  
4 are worthy of a lot of discussion and thought, and I hope  
5 we'll come up with some sensible ways to address them.

6           MR. ROLLINS: Jack, if I could respond just to  
7 one question you were asking. That's sort of why we had a  
8 partial-benefit dual enroll in a D-SNP. I think at least  
9 part of what's going on is that it's not so much about the  
10 Medicaid; it's that because the Medicare Advantage plan can  
11 limit its enrollment to a population that's dually  
12 eligible, they can sort of tailor their extra benefits and  
13 how they use their rebates in a way that they wouldn't  
14 necessarily do for the broader Medicare population. So,  
15 for example, they will know that all of the partial-benefit  
16 duals are getting the Part D low-income subsidy. And so  
17 they won't -- you know, they don't feel a particular  
18 compunction to say that we're a zero dollar premium plan  
19 because we know all our enrollees are going to be getting,  
20 you know, roughly \$30 a month from the Part D subsidy. So  
21 they can sort of offer a slightly more tailored package of  
22 extra benefits that make sense for them. It isn't so much

1 about dealing with Medicaid per se. It sort of just takes  
2 into account, well, we know you get these other subsidies  
3 or, for example, the duals who are just QMBs. They get  
4 coverage of cost sharing. A plan in that case isn't going  
5 to allocate as much of its MA rebates to sort of coverage  
6 of cost sharing because they know that that's not really  
7 sort of a value add, if you will, for the enrollees.

8 DR. HOADLEY: I think that's helpful to have that  
9 kind of information in this discussion. And, you know,  
10 obviously, there's some degree at which low-income  
11 beneficiaries in general, whether they're actually partial  
12 duals or just low income, find Medicare Advantage  
13 attractive because they can't afford some of the Medigap  
14 options out there, and so they look at MA as a way to, you  
15 know, reduce their out-of-pocket responsibilities.

16 DR. CROSSON: Okay. Further comments and  
17 feedback? I've got Kathy and then Pat over here.

18 MS. BUTO: So, Eric, I think this work is  
19 terribly important. I mean, this is the most expensive and  
20 in some ways the poorest served segment of the Medicare and  
21 Medicaid populations in combination. So I'm really glad  
22 we're doing this, and I wanted to just touch on the points



1 that you've asked for our feedback on.

2 I agree with Jack on number one, which is CMS has  
3 already placed some additional restrictions on changing  
4 plans, and I would just let those play out, not take any  
5 further steps at this point.

6 On the second point, partial-benefic duals, I'm  
7 not as clear as David is on whether most of them eventually  
8 become full duals. Do we have any data on that?

9 MR. ROLLINS: We did look at some of that. I  
10 think it's mentioned in the paper. The share who go on to  
11 become -- I mean, some of them do go on to become full  
12 duals. It's kind of a question of how much do you think is  
13 a lot. I think after a year, roughly 6 percent of those  
14 who are partial duals have become full duals. And after  
15 three years, it was roughly 10 percent had become full  
16 duals. So some of them do transition, but by and large --

17 MS. BUTO: Okay. It's not a majority.

18 MR. ROLLINS: Correct.

19 MS. BUTO: Okay. So I'm really agnostic on that  
20 one. I don't have a strong feeling.

21 On three, I agree with Jack; I think the seamless  
22 conversion makes a lot of sense. The issue of passive

1 enrollment is more troublesome, but I'm wondering whether  
2 another way we could think about that is that the program  
3 strongly suggests or recommends, or something like that,  
4 enrollment in a FIDE SNP. And then it goes to some length  
5 to describe the benefits to the beneficiary, including  
6 simplicity and full integration of care between the two  
7 payment streams, so actually try to get them to understand  
8 that it really is potentially very beneficial.

9           On the last point, I am really interested in  
10 further work in this area, and really from the perspective  
11 of the types of beneficiaries who are dual eligible. So I  
12 feel like we could do more of this, so the two kinds of  
13 subpopulations I'm thinking about are the under 65 people  
14 with disabilities. I don't have a sense of how well they  
15 are served by any of these plans or whether there are other  
16 options that really ought to be considered for them,  
17 something more self-directed. And then, secondly,  
18 behavioral health is a huge component of dual eligibility,  
19 and I similarly don't have a feel for how well behavioral  
20 health is managed or coordinated for dual eligibles in  
21 these kinds of plans.

22           So both of those population groups are of

1 interest, and so I'd like to see more work there, or at  
2 least an analysis of what we know.

3 DR. CROSSON: Jack, on this?

4 DR. HOADLEY: I really liked the fact that Kathy  
5 brought up the behavioral health angle, and I know when I  
6 saw the -- took a look at the MMP in Virginia, which is now  
7 phased out, they had an emphasis on the plans coming in  
8 having that capability. When we went and looked at it, it  
9 was too early to see anything in the way of results, and I  
10 know Eric has looked at some of those questions, I think,  
11 for the demos. But I think that's really important in  
12 figuring out ways to get plans to focus on that. And  
13 that's then the kind of thing that you could do to help do  
14 that outreach to folks to say here's something you would  
15 really gain in this plan that you might not see as good a  
16 coverage of elsewhere.

17 DR. CROSSON: Pat.

18 MS. WANG: I don't really have a different point  
19 of view from Jack and Kathy on the first bullet.

20 On the second bullet, I would like to be able to  
21 get back to you, Eric. You know, I am really thinking  
22 through -- in some ways it would be a lot cleaner to just

1 have full-benefit duals in a D-SNP. I'm not sure that it  
2 promotes the goal that you're going for there, which is,  
3 you know, sort of the development of further integration  
4 for benefits that the person would have to qualify for in  
5 the first place because, again, you know, it's a subset,  
6 it's a subset of the general dual population.

7 I also am concerned about the movement back and  
8 forth because eligibility can be a little bit -- you know,  
9 it changes, and the overall statistics you gave are  
10 helpful. But -- so, anyway, I think that the -- this is  
11 important work, so I think that it's very important for us  
12 to continue to work on this. I think part of the  
13 complexity of this whole area is that every Medicaid  
14 program is different, and it's very difficult for Medicare  
15 to come up with, you know, a clean approach as it does in  
16 other areas where it really -- it owns that payment stream.  
17 And some of the ups and downs I think in the MMPs stemmed  
18 from the fact that, you know, in the context of a demo, you  
19 know, CMMI wanted to be very deferential to state  
20 preference. State Medicaid program directors in my  
21 experience know very little, if anything, about the  
22 Medicare Advantage program, and I think that that created

1 some of the disconnects that you pointed out here,  
2 particularly in jurisdictions where MA and dual SNPs were  
3 already pretty prevalent, FIDE SNPs were prevalent, PACE  
4 programs were prevalent, and then new demos came in that  
5 were sort of competing, and it was -- there was no  
6 alignment at all in benefits and the approach toward that.  
7 So I think that that is a difficult thing.

8           I am really for trying, despite the complexity of  
9 having many different Medicaid programs who want their  
10 programs to be handled a certain way, trying to streamline  
11 the approach here, at least from Medicare's perspective.  
12 Somebody asked about FIDE SNP. You know, FIDE SNP is --  
13 the reason that plans might go into a FIDE SNP is that they  
14 already serve a lot of Medicaid beneficiaries and Medicaid  
15 clients, a lot of duals, and want to promote integrated  
16 care, and they also have partial MLTSS programs, you know,  
17 following state plan design. The FIDE SNP early on was a  
18 very attractive kind of virtual PACE network, model PACE  
19 program that had appeal if you were not a PACE.

20           I think it would be really helpful to identify  
21 ways to make the existing FIDE SNP program stronger because  
22 it exists in the Medicare Advantage program. I can think

1 of a few things right off the bat. One of the really good  
2 things about the MMPs was that they figured out how to deal  
3 with the discontinuity between state Medicaid enrollment  
4 processes and Medicare Advantage enrollment processes.

5           In New York, for example -- and I'm going to get  
6 this confused -- if a beneficiary signs up on the 5th of  
7 the month, Medicare rules would say you're enrolled from  
8 the 1st of the month, and Medicaid rules would say you're  
9 enrolled as of the 1st of next month. So there's literally  
10 about eight business days in a month where you can actually  
11 -- the windows, the universes overlap, and you can actually  
12 get somebody into your FIDE SNP. So I think that that has  
13 really limited enrollment.

14           The MMPs were very successful, I think, also at  
15 trying to figure out how to handle appeals and grievances  
16 and things like that, because each program has an exquisite  
17 set of rules that are intended to protect beneficiaries,  
18 which is great, but it doesn't make sense to, you know,  
19 administer to exquisitely complicated processes, which,  
20 again, are discontinuous with each other. So I think the  
21 MMPs have lessons for the mainstream FIDE SNP program that  
22 I'd like to see pulled in.

1           The third issue which has been mentioned here is  
2 behavioral health, and I candidly do not know why -- I  
3 suspect that it has something to do with states not just  
4 the Medicare program, the design of a D-SNP or a FIDE SNP,  
5 whether Medicaid behavioral health benefits are in or out.  
6 They were in for the MMPs. They are not in, at least in my  
7 state, for FIDE SNP or D-SNP. And so what we have tried to  
8 emphasize instead is seamless conversion in a parent  
9 organization because we have a gigantic behavioral health  
10 program for Medicare members who are aging into dual status  
11 who are going to lose that as soon as they -- I mean, it's  
12 a very disjointed thing, so I think that there would be a  
13 question just factually as why -- whether most states  
14 include the full Medicaid behavioral health benefit in the  
15 FIDE SNP, or even in a regular D-SNP, and if they don't,  
16 why not? Because the benefit still exists, but they're in  
17 the fee-for-service system.

18           I am really a little bit wary of passive  
19 enrollment just based on our own experience. If it's not  
20 done incredibly well, it is very disruptive for a  
21 population that really should not be disrupted in this way.  
22 Seamless conversion is a different story. The change that

1 CMS made in its reg where seamless conversion can occur  
2 with opt-out, a lot of notice, when enrollment is from a  
3 Medicaid plan into a D-SNP run by the same parent  
4 organization. At least in my organization, for example,  
5 there's an 80 percent network overlap. It's the same PBM.  
6 It's the same care managers. It's the same member services  
7 people. Like it's a very familiar environment for the  
8 members, and so we think that it should feel quite seamless  
9 to members, and if they want to opt out, that's fine.

10 I would note in states where folks are thoroughly  
11 Medicaid managed care, which is increasing, the idea that  
12 fee-for-service for those folks who are aging into Medicare  
13 is like a safe haven is an assumption that really needs to  
14 be examined. Folks have been in managed care plans from  
15 birth, perhaps, or for whatever point they became Medicaid  
16 eligible, they've been in managed care. The current  
17 assumption, the current default enrollment upon attainment  
18 of Medicare eligibility, so you go into fee-for-service,  
19 which means you have to pick a stand-alone Part D plan. I  
20 mean, people -- this is our experience and why we really  
21 support seamless. People are so confused when that happens  
22 to them, and a very high proportion of our Medicaid members



1 to whom that happens find their way back at a certain  
2 point, probably when they're trying to use their card,  
3 their Health First card, or go to the pharm -- and it's  
4 like, "They say I'm not a member anymore. Did you kick me  
5 out?" And, you know, it's like so poorly handled. I just  
6 want to make the overall point. Fee-for-service is not,  
7 you know, like the safe place for duals. That's my  
8 personal view.

9 I would like us to explore additional passive  
10 enrollment -- excuse me, seamless conversion go further.  
11 It's not just -- you know, CMS made an important first  
12 step, so it's Medicaid aging into dual status, but it's  
13 also dual status aging into the need for integrated care.  
14 In my situation -- I think it probably exists elsewhere --  
15 we actually have -- you know, it's like one type of person  
16 that's like a dual-eligible beneficiary could be enrolled  
17 in our MA D-SNP, in our own partial cap MLTSS plan, or in  
18 another organization's partial cap MLTSS plan. I can't  
19 even get the folks who are in my own MA program and partial  
20 cap MLTSS program to get into my FIDE SNP because the  
21 members are happy. They're like, 'Well, why should I move?  
22 I'm part of your organization. I'm a member.' But it

1 facilitates care management and joint administration, so  
2 seamless in situations like that member is already  
3 enrolled. I think there are more permutations of that to  
4 try to keep a person in one organized system to the  
5 greatest extent possible.

6           Again, I think that the issue of behavioral  
7 health is really quite important. It's another reason that  
8 I'm in favor of seamless, because if they're enrolled in  
9 our behavioral health programs and they've got seamless,  
10 then they'll still be enrolled in our Medicaid behavioral  
11 health programs and just pick up the D-SNP. But, again,  
12 you know, getting the state to play is a very big part of  
13 it.

14           So I'm in favor of like try to improve the FIDE  
15 SNP program. To the greatest extent possible, pull in the  
16 learnings from the MMPs into the main program. It's not to  
17 the exclusion of further MMPs, but it could enhance the  
18 basic structure and investigate some of these other issues.

19           DR. CROSSON: Further comments? Okay. I don't  
20 see any. I think the input that we've had from a number of  
21 individuals has been very good. Eric, again, thank you for  
22 the work, and we look forward to further communication with

1 you on this question.

2           So we've come to the end of the session. We've  
3 come to the end of our MedPAC year. We now have an  
4 opportunity for public comment. If there are any members  
5 of the audience who wish to make a public comment, please  
6 come forward to the microphone.

7           [Pause.]

8           DR. CROSSON: We've got one, and she fought her  
9 way through the crowd.

10           Let me just make a couple of introductory  
11 remarks. This is an opportunity but not the only one to  
12 provide information to MedPAC Commissioners and staff.  
13 There are other ways to do that, particularly ways that you  
14 can do it before the discussion takes place.

15           That said, I'd ask you to identify yourself and  
16 your organization, if any, and confine your remarks, if you  
17 could, to two minutes. When this light comes back on, the  
18 two minutes will have expired.

19 \*           MS. BRENNAN: Great. Thank you. My name is  
20 Allison Brennan and I'm with the National Association of  
21 ACOs.

22           I want to thank you for the discussion today, and

1 I really enjoyed your back-and-forth kind of about  
2 benchmarks versus inappropriate counterfactual, and we've  
3 really been trying to get attention on this and appreciate  
4 you also acknowledging the big disparity there between  
5 benchmarks and what we really need to look at when we're  
6 identifying Medicare ACO savings, which is a more  
7 sophisticated counterfactual. And I know that there is  
8 more research that's going to be coming out on that pretty  
9 soon.

10           A couple of comments I wanted to make about the  
11 benchmarks is that we are sorely in need of benchmark  
12 changes in the program, and I think that's evidenced by the  
13 continued difficulty that ACOs have with achieving shared  
14 savings. And I think that connects really closely with  
15 ACOs moving into two-sided risk. It's really difficult in  
16 an organization to go to your Board and your physician  
17 leaders and say, "You know what? We haven't been  
18 successful in three years but I think we're going to move  
19 to two-sided risk, and kind of jump into that, feet first."

20           So I think we need to see more predictability and  
21 stability in the model, in the one-sided track before ACOs  
22 feel confident to move to a two-sided track. So for that

1 reason we certainly support the asymmetric models.

2           And the final comment that I wanted to make is  
3 I'd really love to see the Commission look more closely at  
4 the differences and limitations for risk adjustment. ACOs  
5 really face an uphill battle with their ability to be  
6 successful, and a lot of that is due to the limitations  
7 around risk adjustment. And I don't think we see -- well,  
8 I know we don't see those similar approaches with other  
9 Medicare programs, and we do see risk adjustment benefits  
10 for other Medicare programs and for Medicare Advantage.  
11 And I think there should be some parity there, and at least  
12 an acknowledgment of how difficult that is.

13           So I think with some of those key changes it will  
14 be really important for us to work on those to ensure the  
15 long-term sustainability of the program.

16           Thank you.

17           DR. CROSSON: Thank you very much. We are then  
18 adjourned for the year.

19           [Whereupon, at 11:38 a.m., the meeting was  
20 adjourned.]

21

22