

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom
Ronald Reagan Building
International Trade Center
1300 Pennsylvania Avenue, N.W.
Washington, D.C.

Wednesday, April 8, 2009
9:56 a.m.

COMMISSIONERS PRESENT:

GLENN M. HACKBARTH, J.D., Chair
MITRA BEHROOZI, J.D.
JOHN M. BERTKO, F.S.A., M.A.A.A.
KAREN R. BORMAN, M.D.
PETER W. BUTLER, M.H.S.A
RONALD D. CASTELLANOS, M.D.
MICHAEL CHERNEW, Ph.D.
FRANCIS J. CROSSON, M.D.
THOMAS M. DEAN, M.D.
JENNIE CHIN HANSEN, R.N., M.S.N., F.A.A.N
NANCY M. KANE, D.B.A.
GEORGE N. MILLER, JR., M.H.S.A.
ARNOLD MILSTEIN, M.D., M.P.H.
ROBERT D. REISCHAUER, Ph.D.
WILLIAM J. SCANLON, Ph.D.
BRUCE STUART, Ph.D.

AGENDA	PAGE
Communicating with beneficiaries and shared decision-making -- Joan Sokolovsky, Hannah Neprash	3
Impact of physician self-referral on use of imaging services within an episode -- Ariel Winter, Jeff Stensland	68
Medicare payment systems and follow-on biologics -- Joan Sokolovsky, Kim Neuman, Nancy Ray, Hannah Miller	107
Public Comment	133
MIPPA MA payment report -- Scott Harrison, Carlos Zarabozo, David Glass	137
MIPPA MA/FFS Quality Report - Update -- John Richardson, Carlos Zarabozo	205
Medical education in the U.S. - Supporting long-term delivery system reforms -- Cristina Boccuti, Craig Lisk	244
Public Comment	298

1 P R O C E E D I N G S

2 MR. HACKBARTH: Welcome to the public audience. I
3 apologize for the late start.

4 Our first session today is about communicating
5 with beneficiaries and shared decision-making. Joan, are
6 you going to lead the way?

7 DR. SOKOLOVSKY: I will.

8 MR. HACKBARTH: Okay. Thank you.

9 DR. SOKOLOVSKY: Good morning. This morning, we'd
10 like to present some preliminary material that's part of our
11 ongoing work on beneficiary education. As some of you may
12 remember, we have developed a series of beneficiary --

13 MR. HACKBARTH: Could you pull the microphone a
14 little bit closer?

15 DR. SOKOLOVSKY: Okay. As some of you may
16 remember, we have developed a series of beneficiary-centered
17 projects. Some of the past work includes focus groups and
18 surveys on how Medicare beneficiaries made choices about
19 Part D plans, how Medicare could increase participation in
20 programs like the Medicare Savings Program, and a regular
21 series of focus groups and beneficiary surveys to gather the
22 beneficiary perspective on a range of issues.

1 Today's presentation is not for June chapter.
2 It's more of a progress report on the work that we've been
3 doing this past year and how best to communicate with
4 Medicare beneficiaries. We hope to develop the material
5 into a chapter for next year, and we're looking for your
6 guidance on additional areas of research that we should be
7 looking into.

8 Jennie, you asked us last year to look at the
9 issue of health literacy and the elderly population, and
10 Hannah is going to present our findings from that research
11 this morning. And then I'm going to tell you about our site
12 visits to Dartmouth Hitchcock and Massachusetts General
13 Hospitals to look at models of shared decision-making
14 between patients and providers.

15 To date, we have found that to best communicate
16 with beneficiaries, Medicare must take into account how they
17 learn and when information is most useful. One
18 communication strategy is focused on shared decision-making,
19 providing people with knowledge about their conditions and
20 treatment options so they can participate with their
21 physicians in making treatment decisions that reflect their
22 values and preferences.

1 Analysts believe that shared decision-making may
2 help reduce unwarranted variation in use of discretionary
3 services, and we'll be looking at some of the challenges
4 involved in implementing it. But, first, Hannah is going to
5 talk to you about what we've learned about the elderly and
6 health literacy.

7 MS. NEPRASH: Health literacy is defined by the
8 IOM as "the degree to which individuals have the capacity to
9 obtain, process, and understand basic health information and
10 services needed to make appropriate health decisions." A
11 survey of health literacy conducted by a division of the
12 Department of Education found that adults aged 65 and older
13 had lower average health literacy than younger adults, with
14 roughly 30 percent of elderly adults falling into the worst
15 health literacy category compared with the overall survey
16 average of 14 percent in the worst category. Additionally,
17 adults receiving Medicare or Medicaid also had lower average
18 health literacy than adults with privately purchased or
19 employer-provided insurance.

20 Researchers have found, after controlling for
21 demographic and socioeconomic factors, including income,
22 that low health literacy is associated with poor health

1 outcomes. Studies show that elderly adults with poor health
2 literacy were more likely to be in poor physical and mental
3 health, knew less about their chronic disease than adults
4 with high health literacy, were less likely to receive
5 preventive care, such as influenza vaccines and mammograms,
6 and were hospitalized more. Finally, poor health literacy
7 was found to more accurately predict all-cause mortality and
8 cardiovascular deaths than self-reported education.

9 While many researchers have assessed levels of
10 health literacy among the general and Medicare-specific
11 population, fewer have studied how health literacy affects
12 health care decision-making. The existing research suggests
13 that adults with low health literacy are more likely to get
14 information on health issues from radio and television as
15 opposed to their high health literacy counterparts who get
16 information from written sources, such as assurance peoples,
17 magazines, brochures, and the Internet. Other researchers
18 found that those with low health literacy may be more likely
19 to indicate desire to delegate insurance coverage decisions.
20 They may also be more likely to view more information and
21 decision options as unwelcome burdens.

22 These research findings on knowledge and

1 communication emphasize the importance of taking into
2 account individual beneficiary factors when designing a
3 communication and information presentation strategy.
4 Multiple modes of communication may be one way to address
5 the demographic and cognitive issues of Medicare
6 beneficiaries. Current research suggests that when patients
7 discuss treatment options with their health care provider,
8 providers tend to emphasize pros over cons of the treatment
9 decision in question. There is additional evidence
10 suggesting that patients' goal in making treatment decision
11 are not always what the provider assumes them to be.

12 In one study, researchers surveys patients and
13 providers to assess their rankings of key facts and goals
14 for 14 treatment decisions. When providers were asked to
15 choose the top three things patients should know about chemo
16 and hormonal therapy for breast cancer, not on selected side
17 effects or risks; whereas, almost one-quarter of patients
18 surveyed expressed wanting to know about serious side
19 effects.

20 When patients and providers were asked to choose
21 their top three goals and concerns for the same 14 treatment
22 decisions, none of the conditions had the same top three

1 items. Providers had a tendency to cluster around a few
2 goals such as keeping the breast, living as long as
3 possible, and looking natural without clothes for breast
4 cancer decisions, while patients were much more diverse in
5 their goals.

6 Now I will turn it back over to Joan who will tell
7 you about our site visits to Dartmouth and Massachusetts
8 General.

9 DR. SOKOLOVSKY: Thanks. So shared decision-
10 making is a way to facilitate patient participation in
11 decision-making by getting them the information about
12 clinical alternatives and an opportunity to express their
13 preferences. For example, breast cancer patients are
14 informed that there is no difference in average survival
15 rates for lumpectomy compared to mastectomy, but that there
16 are other trade-offs with both procedures that they should
17 consider. It includes the use of patient decision aids.
18 These are tools that give a patient objective information on
19 all treatment options for a given condition. They present
20 the risks and benefits and help patients understand how
21 likely it is that those benefits or harms would affect them.
22 They can be written, web-based, or videos. The ones used in

1 the sites that we visited were multimedia, combining many
2 ways of presenting information, including video clips of
3 patients discussing how and why they made different
4 decisions.

5 Shared decision-making clearly is not appropriate
6 for all decisions. It wouldn't be of use in an emergency
7 situation or when the medical evidence is unambiguous. It's
8 used generally for preference-sensitive procedures when
9 medical evidence is unclear about which treatment option is
10 best. The goal is to reduce unwarranted variation by
11 ensuring that these procedures are chosen by informed
12 patients who value the possible benefits more than the
13 potential harms.

14 The Cochrane Collaboration, an international,
15 nonprofit, independent organization that produces and
16 disseminates reviews of medical evidence on health care
17 interventions, looked at 55 randomized, controlled studies
18 of the use of patient decision aids as part of its shared
19 decision-making program. Compared to usual care, patients
20 using these aids had greater knowledge of their treatment
21 options, fewer people were passive or undecided about their
22 treatment, and exposure to these aids resulted in reduced

1 rates of elective invasive surgery in favor of more
2 conservative options. Of course, the rates varied by study.

3 In addition, surveys have generally shown that
4 physicians have a positive attitude toward shared decision-
5 making. For example, a 2004 survey of orthopedic surgeons
6 found that the majority thought that shared decision-making
7 was an excellent or good idea. The most important benefit
8 they cited was that it increased patient comprehension of
9 their condition and the potential treatment options, but few
10 had attempted to implement it within their practice. They
11 reported that the most important barrier was the fear that
12 it would take lots of time and interfere with office work
13 flow.

14 A more recent survey of primary care physicians
15 had similar results. Ninety-three percent said that the
16 principles of shared decision-making sounded good. Most
17 think it is very important for patients to be well informed,
18 especially about their chronic conditions, but most don't
19 think that their patients currently are well informed. And
20 45 percent thought that the main barrier to use of shared
21 decision-making was lack of time for detailed discussion.

22 So it seemed from our preliminary research and

1 interviews that an important reason why there has not been
2 widespread adoption of shared decision-making is not because
3 of opposition to the concept but, rather, difficulties in
4 implementing it within programs without disrupting office
5 work. So we visited two sites -- Dartmouth Hitchcock
6 Medical Center Massachusetts General Hospital -- both of
7 which had been in the forefront of research on how to
8 implement shared decision-making. At Dartmouth, the main
9 focus has been on specialty care, and at Massachusetts
10 General, the focus has been on primary care. And both of
11 them use the same multimedia decision aids.

12 Although the different focuses of the two sites
13 suggested many contrasts, which I'll talk about in a little
14 while, there was some general themes that emerged from our
15 visit. They both stressed the importance of getting
16 physician support before trying to implement a program. And
17 while these programs are physician initiatives in both
18 sites, that doesn't mean that physicians are involved in the
19 day-to-day operation of the program. In fact, organizers at
20 both sites emphasized that these programs could only work if
21 they fit into the way physicians practice. If the program
22 created more work or interrupted the work flow in the

1 office, it was unlikely to be widely adopted. Programs
2 also, we found, have more impact when there is a feedback
3 loop that ensures that physicians meet with patients after
4 they've seen the decision aid.

5 Let me take you through the steps involved in one
6 shared decision-making program. I should emphasize that
7 this is not what we'd call a typical program. I don't know
8 if there is a typical program. It's probably the most
9 comprehensive program we saw, and that's the shared
10 decision-making program at Dartmouth for breast cancer
11 patients. It is part of a comprehensive, coordinated care
12 system for newly diagnosed breast cancer patients. It
13 requires no additional work for the surgeons. Patients are
14 automatically prescribed decision aids upon diagnosis and
15 asked to complete a survey after they viewed the aid. This
16 first aid is basically about the choice between a lumpectomy
17 and a mastectomy. Counselors are available to help patients
18 with the material as well as other issues that they may
19 face.

20 When the surgeon sees the patient, she has the
21 survey results in hand, which indicate the patient's values
22 and preferences, as well as measures of how well she

1 understood the material covered in the decision aid. This
2 feedback loop where physicians meet with patients after
3 they've seen the decision aid is very significant.

4 For example, if the patient's decision does not
5 seem to accord with their values, for example, a patient who
6 says that the most important thing to her is keeping her
7 breasts and then says what she wants is a full mastectomy,
8 the physician may ask the patient to view the video again.
9 Following the surgery, the program has two additional
10 decision aids -- one on follow-up treatments and one on
11 reconstructive surgery.

12 Program organizers at both sites stress the
13 importance of implementing shared decision-making and
14 primary care. But the differences seem especially
15 significant. Let me give you a few examples.

16 Specialists are more likely to have a limited
17 number of decision aids to prescribe for their patients.
18 Primary care physicians deal with a wider range of issues.
19 Organizers at Massachusetts General identified 22 different
20 decision aids that are available for use by primary care
21 physicians. This includes decision aids on cancer
22 screening, diabetes, heart disease, depression, advance

1 directives, and general health. Physicians are less likely
2 to know before a patient visit which decision aids may be
3 appropriate.

4 Program organizers at Massachusetts General told
5 us that the most prescribed programs were those for PSA
6 testing, colon cancer screening options, advance directives,
7 and chronic lower back pain.

8 Another challenge in primary care is that patients
9 may find decision aids less salient than decision aids
10 involving subjects like cancer treatment or back surgery.
11 Specialists prescribe decision aids at a time when the
12 information is most useful to patients: before meeting with
13 the physician to make a treatment decision. The patient has
14 an incentive to study the material. The physician can then
15 spend more time with the patient answering questions and
16 discussing the options and less time explaining the basics
17 of the diagnosis and the treatment options.

18 On the other hand, patients may not be willing to
19 invest the same amount of time and energy to understand the
20 advantages and disadvantages of, for example, different
21 cancer screening options. Specialists are also more likely
22 to get the results of the patient survey and have a chance

1 to discuss it with the patient.

2 Nevertheless, physicians believe implementing
3 these programs in primary care is very important. For
4 example, we spoke to an orthopedic surgeon who said that his
5 eventual goal was to move the shared decision-making, as he
6 called it, upstream. He said say, for example, for lower
7 back pain, if the patient got the decision aid from their
8 primary care doctor, it might eliminate some unneeded
9 imagine and result in fewer referrals to the orthopedist;
10 but the referrals that he did get would be for patients who
11 were more likely to be appropriate candidates for surgery.

12 Physicians at both sites mentioned that shared
13 decision-making programs in their institutions were
14 implemented despite the negative incentives created by a
15 fee-for-service payment system. For example, again,
16 surgeons might expect to see fewer patients electing back
17 surgery if they engaged in shared decision-making. The
18 specialists we talked to believed a different payment
19 structure would facilitate wider dissemination of the
20 programs. Several suggested that shared decision-making
21 would go very well in an accountable care organization. A
22 number noted that primary care decision-making would fit

1 with the incentives of a medical home. One interviewee
2 suggested that shared decision-making would also be
3 appropriate in payment systems based on episodes of care.
4 However, no one suggested that shared decision-making was
5 only possible in an organized delivery system, and this is
6 an issue that we'd like to look more into in the future.

7 In May 2007, Washington became the first state to
8 endorsed shared decision-making. The legislature directed
9 the health care authority to enact a demonstration project
10 at one or more multi-specialty group practices that are
11 providing state-purchased care. These sites must
12 incorporate decision aids into preference-sensitive care
13 areas and complete an evaluation of their impact.

14 Group Health of Puget Sound is going to be the
15 site of this demonstration. They've spent more than a year
16 getting ready for the project, primarily talking to
17 physicians and getting their input on how the program should
18 be implemented. In fact, this month they've started
19 implementing the program, and much of the initial work,
20 again, was discussions with physicians. We plan to study
21 this demonstration and see what we can learn from their
22 efforts. Other states, in fact, are actively considering

1 similar initiatives.

2 So we present the following questions for your
3 discussion:

4 First, do you have any suggestions for our wider
5 beneficiary-centered agenda?

6 Secondly, how can shared decision-making programs
7 be used in primary care?

8 And, finally, is widespread adoption of shared
9 decision-making possible given the incentives of a fee-for-
10 service payment system?

11 And we would like your guidance on additional work
12 you'd like to see in this area.

13 MR. HACKBARTH: Okay. Let's begin with round one
14 questions, clarifying questions for Joan or Hannah.

15 MR. BUTLER: This is one of those areas where I'm
16 not sure whether it would make a lot of difference based on
17 the socioeconomic differences, racial differences, literacy-
18 language differences. Could you share a little bit more
19 about the characteristics of the two populations as you went
20 and saw it at Dartmouth and Mass. General? Because they
21 don't strike me as being like a federally qualified health
22 center in an underserved area kind of population.

1 DR. SOKOLOVSKY: I think it's fair to say -- in
2 fat, they did say at Dartmouth that it was probably one of
3 the least diverse populations that you could find in the
4 U.S. But Massachusetts General was very different, because
5 these were primary care clinics that were affiliated with a
6 hospital that were all over the area and many of them in the
7 poorest areas of the city and were, in fact, very diverse.
8 But we don't yet have any kind of evaluation to know what
9 difference it made.

10 MR. GEORGE MILLER: My question is very similar to
11 Peter's, and that is, on Slide 7, when you talked about the
12 follow-up discussions researchers had with the patients, do
13 you know if their responses, you could lump them into high
14 literacy or low literacy with the responses, and if there
15 was a difference in how they responded to the questions from
16 the researchers? Could you break that out demographically
17 by those who had higher literacy versus lower literacy? And
18 did you get the same responses?

19 MS. NEPRASH: In the research that I read that I
20 then presented, they did not break it down by health
21 literacy, but this is by no means -- it's part of a very
22 large survey they did, and I know that there are forthcoming

1 papers, so I'll keep an eye on that and get back to you.

2 MR. GEORGE MILLER: Thank you.

3 DR. CROSSON: Thank you, Joan, for an excellent
4 report, as usual. I had one question. There are sort of
5 two kinds of therapeutic choices and, therefore, decision
6 aids. One involves the choice of among or between two
7 procedures, for example, mastectomy and lumpectomy. But the
8 universe is still that there is going to be a procedure
9 performed.

10 The second kind is, for example, in prostate
11 surgery between some intervention and watchful waiting where
12 there would be no procedure performed. And I have a feeling
13 that the dynamics at the provider level may be different
14 between those two kinds of decision processes. And I
15 wondered if in the discussion that you had in either site
16 that distinction had arisen.

17 DR. SOKOLOVSKY: Yes, in fact, it has arisen, and
18 let me give you two answers in how it worked.

19 There is a decision aid on colon cancer screening,
20 and one of the options that's discussed in the video and in
21 the material is no colon cancer screening, and the sense in
22 there is that for some people that could be a reasonable

1 option. The gastroenterologists at Dartmouth decided that
2 they didn't want anybody using that decision aid at
3 Dartmouth because they didn't agree that that could be a
4 reasonable decision; whereas, the physicians at
5 Massachusetts General were okay with it. So that was one
6 for them.

7 For back pain, for example, wait and see is --
8 since I have back pain, I paid a lot of attention to that
9 one and actually watched it, and that's definitely treated
10 as -- wait and see is definitely an option that they discuss
11 there.

12 On the other hand, some of the decision aids that
13 are about, for example, diabetes, to me it seemed like a
14 very different kind of thing, I mean in terms of decisions.
15 And I asked -- looking at it there, things about eating
16 healthy and, you know, lifestyle changes, and I asked what
17 the disadvantages were of eating healthy, and all I got was
18 laughter. So I can't take you beyond that.

19 DR. DEAN: My question is sort of a follow-on to
20 what Jay just asked, and it strikes me, just to follow up on
21 what you said about implementing it with a lot of primary
22 care decisions is more difficult, because if these kinds of

1 activities around a decision that is imminent where you
2 specifically have to make a decision, yes or no, you're
3 going to have surgery or no surgery, you're going to have
4 this surgery or that surgery, there certainly is a strong
5 incentive for patients to get involved and to pay attention.

6 I wonder what the experience is in these programs
7 in dealing with this kind of a process for conditions like
8 diabetes where there is no urgent decision that has to be
9 decided soon, you know, or something. It's more talking
10 about things you were just mentioning, the lifestyle things.

11 What was their success in getting patients
12 involved? My experience is there certainly are a few
13 patients who will be very involved and who will really grab
14 onto this stuff and make use of it, and there are a lot who
15 would think that they're just not quite ready to tackle all
16 these problems.

17 DR. SOKOLOVSKY: I haven't seen an evaluation yet
18 of that, but I agree that that seems to be one of the key
19 issues in terms of how will you get people to focus on those
20 kinds of decisions. So it's something that I think we need
21 to follow up on.

22 DR. DEAN: I'm sure that the involvement has

1 something to do with the level of literacy, but it also has
2 something to do with the nature of the problem, too.

3 DR. SOKOLOVSKY: Yes.

4 DR. MILSTEIN: I have two questions. I'll give
5 you the chance to answer one before I ask the second. You
6 referenced the Cochrane summary that indicated, among other
7 things, that when patients have an opportunity to
8 methodically consider the risks and benefits of two
9 different treatment alternatives, two or more different
10 treatment alternatives, they are inclined at the margin to
11 decline more aggressive interventions that are, you know,
12 one of the two arms of the two treatment options.

13 In your research so far, have you uncovered any
14 attempt to model whether or not total health care spending
15 goes down as a result of use of these aids? On the one
16 hand, a more conservative approach on the face of it might
17 cost less, but, you know, some of those patients will need
18 more aggressive treatment later down the line, and
19 conservative therapy is not without its costs. Has anybody
20 attempted to sort of dig into the question of whether or not
21 these decision aids would likely be cost additive or cost
22 reducing relative to total health care spending?

1 DR. SOKOLOVSKY: I've tried specifically to look
2 at that. That is clearly a question that is of interest to
3 MedPAC. I've seen a number of attempts to look at the
4 answer. I haven't seen anything that I have found very
5 satisfactory as yet.

6 DR. MILSTEIN: A second question, then. There are
7 multiple facets of decision-making that a more thoughtful
8 and neutrally presented set of information might facilitate.
9 So far you've referenced choice of treatment option. Once
10 one chooses a treatment option, there is then a subsequent
11 decision that could also benefit from this kind of more
12 neutral presentation of risks and benefits, and that would
13 be the choice of provider that would implement the treatment
14 option.

15 For example, there are some treatment options,
16 like bariatric surgery, you know, where there is quite a
17 profound difference in probability of adverse outcome,
18 depending on the surgeon's experience or the facility's
19 experience. And so the question is: Have any of these
20 decision aids that you've looked at looked at this sort of
21 second element of shared decision-making, which is
22 thoughtful and neutral facilitation of patients'

1 consideration of the pros and cons of which provider might
2 be a better provider, you know, in view of the risks and
3 benefits?

4 DR. SOKOLOVSKY: I would say, not only would I say
5 no, that they haven't -- I mean, at least nothing that I've
6 looked at so far does, but I would think even more than that
7 they haven't, I think maybe it's more their perspective not
8 to go there, that the focus is meant to be on clinical
9 evidence, and they don't want it to be -- too much focus on
10 cost could lead to discussion on is this about rationing.

11 DR. MILSTEIN: I'm sorry. I didn't convey the
12 question. Irrespective of costs, with something like
13 bariatric surgery, there is a major difference -- there can
14 be major differences in your risk of surviving depending on
15 whether or not your surgeon has more or less experience. So
16 that is not a choice of treatment. It is a choice of
17 provider once a treatment decision has been arrived at, and
18 I was asking whether any of these decision aids had
19 addressed the question of choice of provider.

20 DR. SOKOLOVSKY: Not that I've seen to date.

21 MR. HACKBARTH: Years ago, more than 10 years ago,
22 when I was at Harvard Vanguard Medical Associates, we looked

1 closely at doing this and for a variety of reasons decided
2 not to at that point. But I spent some time talking to Al
3 Mulley at Mass. General who was one of the early proponents
4 of this, and Al made a distinction that really stuck with
5 me. He said there are certain cases where it is a good
6 thing to have more informed patients. An example of that
7 would be where a better informed patient is more likely to
8 adhere to the appropriate regimen because they have more
9 information, they understand the importance of doing certain
10 things. It is also good to have more informed patients to
11 engage with the physician where there's uncertainty about
12 what the right course of action is, and there is not a clear
13 clinical right answer.

14 There is the case, though, where, in his view, it
15 was essential to have informed patients, and there are some
16 treatments where the right answer depends entirely on how
17 the patient values certain risks and benefits and how they
18 trade those off. There simply is not an evidence-driven
19 right answer. It is entirely up to the patient's judgment
20 about how to weigh risks and benefits.

21 We often hear of the category used by Dartmouth
22 analysts of preference-sensitive care, which I'm not sure is

1 exactly the same thing, but it's sort of a close cousin.

2 Could you remind me what percentage of care falls into that
3 sort of patient preference-sensitive category? Does anybody
4 know that? I think it is a pretty big hunk.

5 DR. MILSTEIN: I think when folks have tried to
6 estimate it, I think they've told me as a percentage of
7 total spending, 3 to 5 percent.

8 MR. HACKBARTH: Okay. So the point I wanted to
9 make is that there are a variety of reasons why you might do
10 this, and at least some cases, it seems to me it's almost a
11 moral imperative that we do a better job because the right
12 answer hinges entirely on patient preferences.

13 Okay. Any other round one questions? Ron?

14 DR. CASTELLANOS: Round two.

15 MR. HACKBARTH: Round two. Any more round one's?
16 Okay. Let's go to round two. Let me see hands, and we'll
17 just go down this way starting with Bruce.

18 DR. STUART: This is a very interesting topic, and
19 I look forward to what you learn over the next year. It is
20 also nice to look at experiments that you think are going to
21 succeed, but I think it would also be important to look at
22 not experiments but interventions in these areas that there

1 is general consensus have not succeeded very well and to
2 learn what doesn't work so that you can kind of build up the
3 knowledge base that says, well, don't go into these areas,
4 this clearly doesn't work.

5 I guess where I'm thinking of -- and I don't mean
6 this to cast aspersions on the whole area, but disease
7 management is an area in which virtually all of the
8 interventions have some contact with patients, and it seems
9 like the contacts, for the most part, have -- there's not
10 much evidence that they're successful.

11 And so what can we learn from areas in which there
12 is communication, but communication has not worked very
13 well?

14 DR. SOKOLOVSKY: I guess where I saw this as quite
15 different was the effort, which I think is different from
16 general disease management, to get the physician on board
17 before an intervention takes place, to make sure that it's
18 done in a way that physicians appreciate and that physicians
19 are comfortable with the content.

20 One of the things I used to hear on the oncology
21 site visits was physicians used to talk about some of their
22 patients being enrolled in disease management programs, and

1 they would tell them, as soon as they got it, to throw out
2 any material they got because it was -- I mean, not --
3 because it might be about the patient having diabetes or
4 something where the information might be useful for a
5 diabetes patient but not one who also had cancer. So I
6 think that the role of the physician here is very crucial.

7 MR. BUTLER: You know, part of me says we have
8 shared decision-making now; particularly if a procedure is
9 involved, you have to sign a consent form as a patient and
10 say, "I agree to this." And so at one end of the continuum,
11 we do have shared decision-making, and it is in a pretty
12 crude way for sure. And so at the other end is a fancy
13 model that, you know, really engages.

14 So I am thinking, is there some way as you study
15 this to look at a continuum rather than now we don't have
16 shared decision-making, let's have it, and at other steps
17 along the way that you could kind of appropriately insert,
18 depending on the condition, depending on the population, so
19 that you give a little bit, you know, broader range of
20 options to engage the patient? Whether or not it saves
21 money, whether it reduces utilization, I think it's a good
22 idea. It's obviously a good idea. The more you're going to

1 do it, the more you're going to get compliance and all the
2 rest. But I think about a model or something that could
3 present a continuum that would help us look at it more like
4 yes or no, but something in between that could be applied.

5 DR. SOKOLOVSKY: I think that is kind of what they
6 want to look at in Washington State because I think their
7 goal eventually is to change the definition of informed
8 consent, to in the appropriate places have a model that is
9 more like shared decision-making, and I think even going
10 forward further, they are thinking of that in terms of
11 liability, that if a physician has gone through this kind of
12 program in terms of the actions for informed consent and
13 talking about all treatment options, if the physician shows
14 that they've done this program, then that is a high bar for
15 liability protection.

16 DR. KANE: Yes, I think in terms of -- I think
17 this is actually a fascinating area, engaging the
18 beneficiaries much more actively in any kind of care process
19 from treating themselves, which treatment to take, but also
20 there are other areas I wondered if anybody is looking at.
21 One is what types of educational techniques work to improve
22 compliance. I am thinking more if you are going to into

1 diabetes or chronic disease management. Are there
2 techniques, are there educational aids or modes that work
3 better than others around getting patients to be more
4 compliant when they have a chronic disease or a regimen that
5 they have to adhere to, to ensure the best outcome?

6 And so this is focused on, you know, making a
7 decision about a treatment, but it seems they also make
8 daily decisions about what to do with respect to compliance,
9 and I don't know if anybody has tested or looked at aids
10 that affect that.

11 And then the other place I'd be interested in
12 knowing if there's any effort to engage beneficiaries more
13 actively is in the areas like testing, like imaging, whether
14 there is any -- you know, in the areas where we really have
15 a problem, and many physicians will say, "Well, I had to
16 order that test because the beneficiary just insisted on it,
17 even though I thought it was unnecessary or I was worried
18 about defensive medicine." I mean, is there any effort to
19 engage the beneficiary in those really high-volume, highly
20 discretionary areas that everybody claims is because the
21 beneficiary wants it and it's just they're being forced to
22 order it, is there any tool out there that people have

1 developed to try to educate patients better on the
2 appropriate use of imagine or, you know, the implications of
3 getting one more MRI or that kind of thing?

4 DR. SOKOLOVSKY: We definitely heard discussion
5 about the development of aids on that issue, but it may,
6 again, be one of those areas where -- I mean, the patient
7 has to really focus on this stuff, and will they be willing
8 to focus on whether one additional MRI is relevant or not?

9 DR. KANE: Maybe it would have to be coupled with
10 more cost-sharing, but even so, I wonder whether people
11 really put that kind of effort in to explain to people that,
12 no, there isn't that much value. I don't know.

13 DR. REISCHAUER: Nancy, isn't the whole issue of
14 patient compliance really a different one? I'm not sure
15 you'd want to muddy this analysis, that you can learn things
16 about how patients take in information and how they react
17 through this analysis, but somebody who has a chronic
18 condition, how do you convince them to take the medication
19 or receive the treatment at appropriate periods strikes me
20 as a different and conceivably even a bigger issue.

21 DR. KANE: Well, I'm just wondering if some of the
22 methods wouldn't be similar. For instance, some kind of

1 really well-thought-out program you take home, and then you
2 are quizzed on it and your doctor asks you questions about
3 it could apply to not just treatment decisions but also to
4 compliance issues. I'm not sure. I'm actually responding
5 to the questions for discussion about are there any
6 beneficiary-centered agenda items that we would want to --
7 not that -- you know.

8 MR. HACKBARTH: It might be helpful, Joan,
9 building on Nancy's comment, if we had sort of a typology of
10 decisions, and maybe clinicians could help develop that, you
11 know, typology of patient engagement. Some of it has to do
12 with making sure that they understand why something is
13 important so that they're more likely to adhere to the
14 regimen. In other cases, it might be that the right
15 decision hinges on how people value different risks and
16 benefits. In other cases, it might involve cost trade-offs.

17 DR. REISCHAUER: Choice of provider. Arnie and
18 John --

19 MR. HACKBARTH: Choice of provider. I haven't
20 thought through this systematically, but there might be a
21 typology that can help us organize our thinking and say
22 we're going to focus on these boxes in the typology and

1 really define what we're talking about.

2 DR. CASTELLANOS: Well, first of all, this is a
3 great topic, and you did a great job. As a practicing
4 physician, this is the world that I live in. You asked for
5 some directions. I'm going to give it to you from a
6 physician's viewpoint.

7 One of the things we think is extremely important
8 on this -- and I don't mean to say it out of context -- is
9 when you talk about patients, you need to get some kind of
10 an advance directive, and you need to have somebody on board
11 as a health surrogate for the downstream effects, because
12 these people may change when they get in the hospital or
13 with an acute disease. And it's really nice to get that
14 information way ahead of time.

15 I think it is great that you went to Mass.
16 General, and I think it is great that you went to Hitchcock.
17 But these experiences are real-world experiences, and I
18 think you need to get out where the tire hits the road, and
19 you really need to get out, as we have talked about, to see
20 the ethnic diversity, to see the difference in economy and
21 the difference in patients, and to see what is really
22 happening in the real world. I think you're going to be

1 surprised because this is something that we're doing
2 already. I'm not saying we're doing it to the degree that
3 we should be, but it's something that is already happening.

4 You know, Bruce, you asked about chronic disease
5 management, why hasn't it worked. Well, it's very simple.
6 They don't have a team approach. They don't have the
7 physician involved. And the reason here is because I think
8 it's a team approach. It's not just the physician and
9 nurses. It's a whole team of us. And I think care
10 coordination is really important.

11 The other point that I really would like to try to
12 make is that there are a lot of barriers for this, and one
13 of the barriers you mentioned is the lack of time,
14 especially for the primary care person, and especially as it
15 fits into the fee-for-service. Unfortunately, there is no
16 compensation for that. You know, where you went, you had
17 care coordination, you had care coordinators. In a tertiary
18 center, they have a lot of people. In the real world, it's
19 not that way.

20 So I think there is going to have to be some
21 consideration, especially in the primary care field, for the
22 lack of time where perhaps the medical home, perhaps we can

1 use the primary care physician more effective by having
2 nurse practitioners doing the elementary stuff and having
3 the physician elevate to a position where it's much more
4 important to him.

5 The other point that has been snuck around, and
6 when I talk to people about options and stuff, I always give
7 the point of a second opinion, and I think that needs to be
8 discussed openly with the patient, that the patient has that
9 right to seek a second opinion.

10 Thank you.

11 DR. CROSSON: Thank you again, Joan. I have been
12 somewhat befuddled over the years by the fact that this
13 seemingly very logical and effective tool has been
14 underused, even in settings where it would seem clear that
15 it should be and could be. And I would probably include my
16 own organization in that regard. And yet it hasn't been.

17 You know, we were talking earlier, the discussion
18 here before the meeting, about, you know, sort of why can't
19 people behave logically. I'm not sure I know the answer to
20 that, but there seems to be a few things at play, and you
21 have covered them. One, of course, is the fee-for-service
22 incentive, which in some settings mitigates against the use

1 of this. The second clearly is the issue of time,
2 particularly for busy practitioners and particularly for
3 individuals who do procedures and who value more the time
4 and actually working in that way than perhaps taking a long
5 time to explain things.

6 There is also the sort of immeasurable thing. It
7 has to do with sort of pride in expertise so that for some
8 individual -- for many individual physicians, once they've
9 developed great skill at doing something, the notion of
10 trying to essentially talk someone out of having that
11 procedure done seems counterintuitive. I think that's
12 probably true for all of us in various areas of skill.

13 It would seem to me, therefore, that if we're
14 going to find a solution, to craft a solution which would
15 increase the use of this tool, because my intuition with
16 data is that the proper use of this tool probably would
17 result in less invasive procedures in situations where
18 probably individuals don't really need them. At least
19 that's the experience, that individuals, when they go
20 through this, tend to make more conservative decisions.

21 There needs to be an incentive piece, that
22 somewhere we have to deal with incentives, and it has to

1 deal with incentives both for -- or could deal with
2 incentives both for the physician or provider to perhaps
3 mitigate fee-for-service incentives in some way, but also
4 perhaps provide incentives for the beneficiary or the
5 patient to create the greater likelihood that people at
6 least understand the availability of this tool if not
7 receive incentives for using it.

8 The idea of having some sort of support structure
9 that would do this to take it off of the time schedule of
10 the physician, and yet be integral enough into the
11 physician's practice so that it's not looked at by the
12 physician or by the patient as being something alien and
13 disconnected from the care relationship between the patient
14 and the physician. And I realize that's difficult.

15 And then also I think a piece of this that for
16 physicians who do procedures, to make it clear to those
17 physicians that the net result of this would actually be, as
18 Glenn was talking about earlier, the production of a flow to
19 that physician of individuals who were much better, more
20 selected, happier, and potentially lower-risk patients for
21 the particular procedure that's involved.

22 Now, that's a lot, but it would seem to me that in

1 each case there simply hasn't been a collective approach
2 that would incorporate those, and, therefore, we have what
3 we have, which is under utilization of a very useful tool.

4 MR. HACKBARTH: I thought you were going to react
5 to that since your light was on.

6 DR. SOKOLOVSKY: No, I just want to say that what
7 you said, essentially that was the message that we were
8 getting most frequently from the different specialists -- at
9 Dartmouth, in particular, exactly the points that you made.

10 MR. HACKBARTH: Joan, did you talk about just
11 mechanically how these programs work and how the patients
12 are educated and, you know, sort of what the flow is?
13 Because I think a couple of the points that have been made
14 here is a critical issue is how this affects the physician
15 and the physician's time, but also -- and these may work in
16 sort of opposite directions -- whether the information is
17 embraced by the physician and seen by the patient as
18 consistent with and a part of their relationship with the
19 physician. How the mechanics of this work are very
20 important.

21 DR. SOKOLOVSKY: Again, let me go through the
22 breast cancer one because that's where it's the most spelled

1 out one. A patient is diagnosed. They could be a regular
2 patient at Dartmouth, or they could be a patient who's being
3 referred in from somewhere else. As soon as they're
4 diagnosed and the physician is not involved here at all, in
5 the course of making an appointment, the decision aid is
6 sent immediately to the patient.

7 MR. HACKBARTH: Right.

8 DR. SOKOLOVSKY: At that point a person working in
9 the program -- again, not a physician -- gets in contact
10 with the person and makes sure they've received it, asks if
11 they need help. Sometimes a patient, particularly if there
12 are language problems, may want to come in and watch it in
13 the office of the decision-making where they can get more
14 explanation of what they were watching in terms of the
15 video. Sometimes a patient really wants -- most of the
16 time, I think, a patient really wants it at home where their
17 family members may also see it, and if this is a cancer
18 decision in particular, it may help to have the whole family
19 watch this.

20 They are also at the same time given a survey.
21 The survey is numbered, and they need to send it back to the
22 office. The survey is then put into the patient's medical

1 record. It's an electronic record in both of these cases.
2 It has not only what the patient's preferences are, but
3 through the course of watching the decision aid, there are
4 questions that test comprehension. And so, again, this is
5 to see when people are saying this is what I think is
6 important and this is where I'm leaning -- they're not
7 usually making a decision, but they're kind of leaning one
8 way or another -- it's to see if there is concordance
9 between what they say is important and do they actually
10 understand what they saw.

11 So far, there has been no additional work for the
12 physician at all. The patient comes into the physician for
13 the appointment, and instead of the physician having to
14 start at the beginning and say, okay, you have this
15 condition, this is what it means, there are a bunch of
16 different things you could do, and this is the mechanics of
17 this option, this is the mechanics of the other option, the
18 physician can start a little bit further on and say, "I see
19 that you've been thinking about this," and actually address
20 the patient's preferences, address the patient's questions.

21 One thing that was kind of interesting, the
22 different physicians we spoke to and different of these

1 practices, sometimes referred to the appointment as being
2 much more fun or interesting, because instead of having to
3 worry, "Have I told them every possible side effect that is
4 possible? Have I told them every possible option?" they
5 know that the patient has already received this basic
6 information, and they can talk more deeply about the
7 patient's concerns.

8 So they say, in general, that it does not increase
9 the time spent. It's a different kind of an appointment,
10 but not a longer appointment.

11 MR. HACKBARTH: Well, let me just underline
12 something that Ron said. You could have all of that, and it
13 still leaves open to me where is the information coming
14 from. So back in the '90s when I was looking at this, one
15 company, a start-up company, was trying to market this to
16 insurers, so this was going to be a product offered by the
17 insurers, the insurers were going to pay for the nurse
18 educators that interacted with the patients. It was all
19 done independent of the physician. And so the patient, the
20 "informed" patient, was going to walk into the physician's
21 office armed with information produced by somebody else,
22 never embraced by the physician, and you are going to get

1 one sort of result. It may be better than the status quo,
2 where we are now, but it still may be less than optimal.

3 You know, another approach is that it's the
4 physician's office or the physician's organization that has
5 embraced this. The physician is intimately familiar with
6 all the materials, and they're the ones sending that out to
7 the patient or having it available in the next room for the
8 patient to study. Differences in terms of the flow and
9 those relationships I think could be the difference between
10 success and failure. And so that is the sort of mechanics
11 that I'd like to learn more about.

12 DR. CASTELLANOS: Can I comment? What you are
13 describing isn't the real-world experience. That patient is
14 coming in to see Karen with a mass in her breast. She
15 doesn't know about cancer. She doesn't know anything about
16 it. So what you're doing now is cherrypicking that patient
17 who has a diagnosis, has a metastatic work-up, has
18 everything, and that person then is going to a tertiary
19 center where that's available. But that is not how it works
20 in the real world.

21 I would love Karen to make some comments
22 concerning that.

1 MR. HACKBARTH: Karen is on my list, and I can see
2 she's got thoughtful comments.

3 DR. MARK MILLER: Can I just say one thing? When
4 you went through the mechanics of that for Glenn in response
5 to his question -- and I may have misunderstood when we had
6 our conversations. But I thought in both of these
7 instances, this material had involved the physician
8 community up front and had buy-in from them.

9 DR. SOKOLOVSKY: Yes, well, I mean, it's both. In
10 both of these places, and pretty much every place we have
11 looked at recently, there is the Foundation for Informed
12 Decision Making, and they are involved -- more than
13 involved. They are the ones who are developing these
14 decision aids and keeping them up to date. And they have
15 panels of physicians for every specialty who are constantly
16 reviewing and updating these decision aids.

17 When it is brought into a practice, it's brought
18 in because the physicians have looked at these aids and are
19 comfortable with them. As in the case of the
20 gastroenterologist at Dartmouth where they weren't
21 comfortable, that aid was not used there. So they're not
22 developing it themselves, but they are very familiar with it

1 and have signed on for it.

2 MR. HACKBARTH: And that's one thing at Mass.
3 General or at Dartmouth Hitchcock or Kaiser Permanente or at
4 Harvard Vanguard Medical Associates. It's a different thing
5 in the disaggregated delivery system that most Americans use
6 for their health care.

7 I am not trying to pour cold water on it. I'm
8 just saying that the logistics are very different, as Ron
9 was saying, in an organized system versus a disorganized
10 system or unorganized system. We need to make our way
11 through this list now.

12 MR. BERTKO: I think your hint is be quick. I
13 will try.

14 Joan and Hannah, first of all, great work on this
15 thing. I strongly support it. Two questions here. One is:
16 In my own experience with my organization, on a slightly
17 different topic, which is benefits structure, there were
18 different types, subsets of people, and I am curious on
19 whether your investigations will look at different groups.
20 One that comes to me is the young-old, for example, versus
21 the old-old. In my own family, we've got a very senior
22 person who at 95 is going to have a different set of

1 decisions than he would have when he had a similar procedure
2 in his 80s.

3 The second one follows up on Ron's question, which
4 is: Clearly, I'm aware of shared decision-making for
5 discrete procedures. Does this also apply to end-of-life
6 issues as well? And will you be looking into things like
7 that?

8 DR. SOKOLOVSKY: Well, the first question let me
9 take as something to research.

10 The second question, yes, at Mass. General,
11 amongst primary care doctors, the advance directive is
12 probably the most popular of all of the decision aids. In
13 fact, one of the physicians at Dartmouth said why can't
14 MedPAC require everybody to have an advance directive.
15 We're not there. That seems to be a big one.

16 MR. BERTKO: The reports are that advance
17 directives and the way physicians use it are -- I'll call it
18 "underutilized." Anything more that you put into a final or
19 the next version of the report I think would be of big
20 interest.

21 MS. HANSEN: Yes, I just want to say thank you
22 very, very much for doing this work. I appreciate your --

1 this robust discussion has evolved from this because it is
2 always being discussed that the beneficiaries really nominee
3 to take a more active role, and I think -- Karen, I remember
4 a conversation where we were saying that there is
5 beneficiary responsibility, you know, as we think about
6 selecting procedures and choosing things. So this really
7 does perhaps convey a dimension of this that we can look at
8 more fully.

9 Probably the most important pieces that I just
10 want to underscore that you found in one of the studies is
11 the ability to perhaps see if there are other research
12 pieces that speak to the difference in synchrony between
13 what the provider thinks is important and what the patients
14 may consider important. I think that part needs to just
15 perhaps be corroborated further, because I think it makes
16 such a big difference in terms of how people will eventually
17 either make decisions, ask for care, or certainly the
18 adherence afterwards as to what was prescribed. And so it's
19 not -- I think we have a term that we call "compliance,"
20 but, you know, I think if we really think about that word,
21 it means you're not doing what I'm telling you to do as
22 compared to understanding really what adherence to an

1 agreed-upon decision of what -- it's more of a contract in
2 some ways of what it is. So I think our ability to build up
3 that side of the information would be helpful.

4 I think that the last piece is the aspect of
5 looking at what will come in Washington State, which is a
6 state that is different and beginning to raise this. And I
7 know that some earlier discussion was of some concern as to
8 whether or not -- not concern, but a question of whether or
9 not the whole aspect of liability insurance would be looked
10 at somewhat differently if, in fact, patients went through
11 this. But it's certainly far premature to really consider
12 that, and I appreciate the study side.

13 I do want to, again, underscore one point other
14 people have made, and that is, this population is really the
15 best practice with a fairly literate group. But if people
16 don't think about health care or decisions the same way,
17 could we begin to look at other populations? Peter
18 mentioned federally qualified health clinics. Are there
19 other best practices with much more diverse populations
20 linguistically or economically that show some promise in how
21 that patient decision-making comes about?

22 Thank you.

1 DR. MILSTEIN: Three brief comments that really
2 build on other good comments that others have previously
3 made.

4 First, I really like -- I think I want to speak in
5 favor of at least considering, as we think about options,
6 Pete's idea of relooking at informed consent. All the
7 research suggests that those are not very well understood
8 processes by patients, and it is my personal belief, based
9 on my personal experience, that if you randomly inserted in
10 the middle paragraph informed consent randomly selected text
11 from Wikipedia, very few people would notice.

12 The second comment is really a build on Glenn's
13 notion of really thinking through a typology of how we might
14 help Medicare -- what might be some of the high-opportunity
15 avenues for better informing Medicare patients in shaping
16 their treatments. One of the things I hope we would look at
17 is the research that was well published in a very respected
18 peer-reviewed journal I think more than 10 years ago by
19 Kaplan and Greenfield which shows that with respect to the
20 issue of informing patients with respect to knowing when a
21 treatment isn't working and, therefore, they ought to be
22 playing more of a role in encouraging a doctor to consider

1 alternative options, that Medicare patients who were exposed
2 to that information and that particular program actually
3 lived longer than Medicare patients that were not so
4 exposed.

5 The third comment is to reinforce Jay's comment
6 about incentives. I don't think it is -- I think it would
7 be quite consistent with much of what we recommended before
8 if we were to think about a recommendation that Medicare
9 ought to consider paying differently for treatments that a
10 well-informed patient actually wanted than for treatments
11 for which it was not clear whether or not the patient was
12 well informed and whether they actually wanted it.

13 MS. BEHROOZI: So much to say and so little time.
14 Thank you, guys. I just want to say about Dartmouth
15 Hitchcock Hospital, it was a little bit of an out-of-body
16 experience to go with Joan and Hannah to visit there, and it
17 was certainly not diverse in a lot of the ways that I am
18 used to diversity in New York City. But I did learn a
19 little bit about rural health care and some of the
20 challenges of providing care there. And I think
21 socioeconomically maybe it was less un-diverse -- I mean,
22 people were poorer, I guess, than you might think by

1 associating the name Dartmouth with this hospital. And I
2 didn't have the opportunity to go to Mass. General.

3 So thinking about it a lot, I have felt like I
4 need to separate out a few of the dimensions of what we are
5 talking about here, and I think, you know, the way that you
6 guys separated your presentation, we're focusing all on the
7 endpoint, the patient decision aid. We're talking about the
8 patient decision-making, but really what Hannah started with
9 was how people learn and get information. And patient
10 education is never a bad thing, and we always expect that
11 it's happening, yet we have doctors telling us, very well-
12 respected, caring doctors telling us that they don't have
13 time for all of it. And we also know that not only do
14 people learn differently, but people communicate
15 differently. All across the board, lawyers as well as
16 doctors, some of good communicators and some aren't.

17 So I think that first, before getting to the
18 results and what you can use this type of educational tool
19 for, I think we should just focus on its value in patient
20 education. It does do a good job of giving people
21 information, these tools, because, again, as you've
22 reflected, they are both written and visual, you know,

1 active, visual DVDs. And so you have standardization of the
2 information, and then, of course, the validity of it is
3 what's at issue. But, again, as Joan described, there's a
4 great effort made to validate these tools with the physician
5 community.

6 So it's standardization. It doesn't depend on
7 whether the doctor remembered to say something or is a good
8 communicator or isn't a good communicator, whether the
9 person heard about this procedure on TV or from an ad, from
10 a device manufacturing company or looked it up on the
11 Internet and got the Wikipedia entry that was the B.S. one
12 as opposed to the good one. It's standardized.

13 So I think that goes to -- I actually was calling
14 it "informed consent on steroids" when I was describing it
15 to some of my colleagues, or package warning labels, package
16 inserts, the things that your pharmacy now gives you, you
17 know, reams and reams of paper with every prescription.
18 It's a neat way of pulling that all together and making it
19 be one thing or a few different things if there are
20 different entities that feel like they've got a better
21 product. But, you know, I guess the way we think of those
22 things, let the market sort that out.

1 But then moving to the results part of it, people
2 have talked about what can come out of better patient
3 education, and I think compliance, again, given that there
4 is a spectrum of types of educational materials that are put
5 out there, patient compliance is certainly a big one.
6 Patient satisfaction is huge, and I think it wasn't in your
7 paper, but one of the other things that you had given me to
8 read, they talked about rates of liability lawsuits falling
9 because people, in fact, are satisfied. They feel like they
10 got what they wanted, or even if the outcome isn't what they
11 thought it was going to be, they were informed and engaged
12 in the decision.

13 The component of exploring the patient's values is
14 not just important for them to be able to make the decision,
15 but for their physician to know more about their patient,
16 and that was really -- I think that was revealing to some of
17 the physicians that we talked to.

18 And then, finally, on the issue of how to incent
19 this in a payment system, I think it's really important that
20 we do, just because of the educational component, whether it
21 reduces costs or liability lawsuits or not, for all of those
22 reasons, actually, for all of those outcomes. I think we

1 should think about it when we're looking at alternative
2 payment incentives, whether it is how to set standards for
3 what you would consider to be a medical home or an
4 accountable care organization, who would be eligible for
5 enhanced payments, maybe setting this as one of those
6 criteria to judge them by. But to your point, Glenn and
7 Ron, several have made the point that not all patients are
8 going to be able to access their care from these organized
9 care delivery systems. So I think that we should also
10 consider how to get these decision aids into the hands of
11 more people, and perhaps Medicare should simply pay for
12 them. They could be something that doctors prescribe and
13 patients access them or patients have an opportunity, you
14 know, having been given a diagnosis, to access these
15 decision aids themselves, and we should just be paying for
16 them.

17 MR. GEORGE MILLER: Thank you. Again, so much has
18 been said about this, I will try to be brief and crystallize
19 a couple of issues.

20 One, I think I agree with Ron that we probably
21 need to get a little more diversity in talking with folks.
22 Rural folks deal with things differently, and they would not

1 have the infrastructure of a big medical center around them
2 to deal with these issues. So I am wondering how that would
3 work there.

4 I was struck by one thing that was said in the
5 presentation by Joan. I think I have this correct. You
6 said many physicians don't think that patients are well
7 informed, and if this is a tool to help them become well
8 informed, I think that there could be a way to tie this
9 together with an incentive. If they make bad decisions and
10 in the end they are better informed with appropriate
11 education, then maybe we can incentivize them maybe like
12 insurance premiums, you lower their premium or their
13 deductible, their cost. And, in effect, it seems to me it
14 will be a lower cost to the system if they are better
15 informed on the front end. And just as John said, different
16 places in people's lives, they will make different
17 decisions. So if someone is in their 90s and making a
18 decision, you inform them differently than someone in their
19 20s with the same procedure. It may be cost-effective to
20 pay for their education and then lower their out-of-pocket
21 costs in some way. I'm not sure how that would work.

22 And then the final thing, in the discussion I

1 think we need to deal with cultural competencies because,
2 again, dealing with different diverse populations all across
3 the country, we need to make sure that we communicate to
4 different segments in different communities, just the
5 thought that -- I was a hospital administrator in West
6 Texas, and we had a population that was 65 percent Hispanic,
7 35 percent white, and then there was my daughter, my wife,
8 and myself.

9 [Laughter.]

10 MR. GEORGE MILLER: As a result, there was a
11 different way to communicate with the Hispanic population
12 and the white population, and sometimes I had to do that.
13 It made it a little bit different. But, again, the point is
14 you have to have cultural competency in dealing with that.

15 Thank you.

16 DR. CHERNEW: First, I want to comment on
17 something that I think it was Bruce said, and others echoed
18 it, about disease management, and I just want to say I think
19 the evidence is actually pretty clear that disease
20 management programs, for all their strengths or weaknesses,
21 have probably improved the quality of care for people
22 enrolled in them. Where they seem to have failed is in

1 lowering the costs, and some of that might be because they
2 haven't involved the physician, they could have done a
3 better job. And I agree with all that, and there's a lot of
4 move to include physicians more. I think it's at least
5 plausible that one reason why they haven't saved money is
6 because the services they are promoting aren't cost-saving
7 services. There's an old article by Joe Selby and others --
8 I don't think Joe's first author -- on that point.

9 But, anyway, with regard to the topic at hand, I'm
10 really interested in understanding aspects of the
11 generalizability of all this, and a lot of that has come
12 around the table. I think in response to a question,
13 someone mentioned 3 to 5 percent of care is preference
14 sensitive. That strikes me as a strikingly small number
15 compared to the number that I would have given you. I think
16 it has to be bigger. These work for many situations where
17 there is discrete types of decisions as opposed to adhering
18 to medications and stuff, although you might be able to
19 extend it. But, still, I find 3 to 5 percent of care
20 influenced by these, potentially influenced by these, is
21 probably a small number if I were to guess.

22 That said, it is not clear -- that doesn't mean

1 you could save 3 to 5 percent. That is just the areas where
2 you could apply it to. What people are choosing in the
3 beginning, how many of them would move, is a completely
4 separate question. Not that saving money should be our
5 goal, but if one were going to do an analysis of any policy,
6 at least you would want to know something about the fiscal
7 impact.

8 So it would be interesting for me to know the
9 number of conditions that one might think these are relevant
10 for, and knowing that is going to be hard. The number of
11 conditions for which they are being -- you know, how many
12 actually exist and how much spending is represented. I know
13 the Cochrane Collaboration study, it was written in Chapter
14 34 of these things. But my guess is you get a bunch of
15 cancers, and you could probably begin to name on your
16 fingers, certainly your fingers and toes, the number of
17 conditions. And even within the conditions, you're only
18 looking at a relatively few number of actual decisions.

19 So it would be interesting to know, if you were to
20 look at the current universe of these aids, how much care
21 would be in that purview and maybe think about, you know, if
22 we doubled it or tripled it, how big would that be.

1 That's not to say a small number means we should
2 ignore it. I am actually a big supporter of these things,
3 and so making better in a small area is better than not
4 making care better in a small area.

5 I am very interested also in sort of the type of
6 providers. We mentioned that it works well in some systems
7 but not others. But understanding how much care is in those
8 type of providers so you would know, that would matter. It
9 certainly would matter if we were going to talk about any
10 policies like incenting people to know how many providers or
11 systems it could work in. And also it came up around the
12 table the type of patients that could respond to this. I
13 think this is useful not only in thinking about policy, but
14 also thinking about evaluating the studies that were done,
15 because many of them were sort of self-selected providers
16 with sort of self-selected patients, and so even if they're
17 randomized, oftentimes they're randomized within a setting
18 where the providers are sympathetic selecting patients into
19 the trial, or the patients were sympathetic, and then they
20 get randomized. So there's some sort of research issues in
21 interpreting the results and some thinking about policy
22 forward where the generalizability matters a lot.

1 I would be remiss if I didn't mention, obviously
2 what is of great interest to me, the role that patient cost-
3 sharing plays. I understand the topic about giving people
4 incentives to use the decision aid versus not. That is an
5 interesting question. But, more broadly, if people move
6 into high-deductible health plans or medical savings
7 accounts or whatever it is, it is somehow -- I know that all
8 these evaluations, at least the ones I'm familiar with --
9 many of which were done by Jay's organization. The Kaiser
10 ones were the earliest ones, I think, in Colorado and stuff.
11 Anyway, they explicitly avoided the issue of cost because
12 they thought the discussion of cost would confuse the
13 decision-making and muddy the waters -- all of which might
14 be true, and I'm actually very sympathetic to that decision.
15 But in moving toward an era where patients are paying for
16 different things, having decision aids that tell them a lot
17 about the alternatives, but tell them nothing about the
18 fiscal consequences to them of choosing one versus the other
19 strikes me as interesting. And even if it's not
20 interesting, it strikes me as important just understanding
21 how people that are in different cost-sharing environments
22 use information differently.

1 So those are all difficult questions that I am,
2 frankly, scared to talk about in general about what role
3 money should play in decision-making. But that doesn't mean
4 I'm comfortable ignoring it.

5 The last point I will make -- and, again, I want
6 to emphasize I'm actually very supportive of these tools. I
7 think there's reasonable evidence that they can improve
8 quality, and in many cases I do think they can actually
9 lower costs if you pick the right case. But I'm very wary
10 of taking sort of small examples of success and applying
11 them broadly given all these generalizability issues. And I
12 think before I would be comfortable thinking about
13 incentives to do this or paying doctors to do that, I would
14 want to see a more complete policy analysis of what we think
15 the clinical and fiscal ramifications of doing something
16 like that are, because I think that it is easy to see where
17 these things are really wonderful, but that doesn't mean it
18 would be wonderful if we just thought up a broad policy
19 that, you know, promoted them widely without thinking
20 through what the policy is.

21 So I would encourage us to think about, once we
22 get to what some specific policies might be, to do analyses

1 of those policies as opposed to just extrapolate from some
2 other studies, as good as those studies may have been.

3 DR. BORMAN: I think part of what we're struggling
4 with is there's not anybody in this room that would qualify
5 as a typical patient, and yet we're trying to make an
6 assessment and a judgment on behalf of the typical patient.
7 I think that is hampering us to some degree, and we have to
8 be a bit careful about that.

9 Just a couple of quick things. Number one, there
10 is enormous variation in how people learn, and it is age
11 dependent, it is education dependent, it is culture
12 dependent. It is a whole host of things. And I think that
13 the message from that is that whatever we go to has to come
14 with a menu of choices; that is, there need to be a variety
15 of educational and/or decision-making tools in different
16 formats that can be readily accessed in a variety of
17 environments by people with different skills and/or their
18 sort of interfering daughter, for example, like me, sitting
19 next to them wanting to look at the things with them as
20 well.

21 So I think that, number one, John Berkto already
22 alluded to, what do we know about, for example, the young

1 versus the old elderly? I think one of the pieces of data
2 that we have in this regard already is the relative
3 reluctance of people to switch among different health plans
4 at a certain age, even though there may be cost savings,
5 which would argue that even in charge of their lives and
6 their dollars, there will be a certain inertia to that that
7 is driven by more things that are cultural and age related
8 than anything else. So I think some information about how
9 does use of these things, how does decision-making and
10 education change at different age groups, I think would be
11 very helpful. And, again, the menu of choices.

12 The other thing is, as I try to think about this,
13 because I talk a lot with patients about procedures and
14 about not having them, in fact, as well, I think you need to
15 think of this topic as something of a continuum. At one end
16 are issues that relate more to compliance, adherence,
17 education about ongoing conditions, where it's not a crisp
18 "make this decision today, there is a consequence tomorrow."
19 It is sort of about buying into knowledge about one's
20 condition and how one interdigitates with it. That is sort
21 of at one end of the conversation.

22 In the middle are perhaps the preference-sensitive

1 or less clear data decisions that have to be made where, for
2 example, lumpectomy plus radiation therapy versus mastectomy
3 are clinically equivalent for properly selected patients.
4 That is pretty clean. But there may, in fact, be less clean
5 areas and/or preference areas, for example, joint
6 replacement, where you are talking about somebody with pain,
7 and when pain becomes disabling to you is very different
8 than it may be to someone else. So there is sort of that
9 middle ground of activities.

10 Then there's sort of a high-end group that is
11 relatively risky stuff and that does carry a finite,
12 measurable risk of mortality -- radical cancer operations,
13 certain brain interventions, certain cardiac things. At
14 that end, frankly, I think patients are more interested in
15 making a transfer of trust than about knowing details. No
16 matter how many hours I spend with you in the office, I
17 can't make you a medical school graduate and a graduate of a
18 residency overnight.

19 So at some point, this is about a transfer of
20 trust, and a certain amount of that is hearing a certain
21 amount of information presented in a certain kind of way by
22 the person who is going to provide it. And so maybe what we

1 need to think about is where can we have biggest impact with
2 this kind of activity is probably in that middle group, as
3 Glenn has already alluded to, where there is lack of clarity
4 or there are multiple choices that are therapeutically
5 equivalent. And so if we are going to particularly take
6 this work forward, I think it ought to focus on that. If
7 we're looking at the broader issue of informed consent and
8 patient education, then I think we need to know more about
9 learning styles, and our end goal needs to be to provide a
10 menu of options.

11 DR. DEAN: There's so much to be said, and
12 obviously we are going to approach this again.

13 First of all, thanks so much for doing it because
14 it clearly has stimulated lots of responses on the
15 commission.

16 I guess I would certainly second everything Karen
17 just said, that in my experience there are a lot of
18 situations where patients simply don't want to decide these
19 things for sure. On our advance directive form that we use
20 in our practice, we have a column on there that says leave
21 to the physician, and we've taken some flack about that
22 because a number of the people that have looked at it said

1 that shouldn't even be on there. But we have a lot of
2 people that check that column, and I think it has to do with
3 the issue if there's trust in the system and the
4 organization, there are some of these decisions that are
5 just too hard to make in advance, and people seem to be
6 comfortable with that approach.

7 So I think it is very important to figure out
8 which situations these kinds of activities really are
9 relevant to and which ones maybe we need to find some other
10 approaches.

11 I guess the other comment I'd make is that the
12 question is, you know, this all seems so logical, why hasn't
13 it happened before? And it certainly has in a lot of
14 settings for all the barriers we've talked about. In my
15 perspective, it is an issue of time. Probably another
16 significant issue is the fact that in many of these
17 situations, we don't have nice, clear data, and it ties in
18 very much with the whole comparative effectiveness thing.
19 Because if we have good, clear data about this, it is very
20 easy to communicate that. But so often it is kind of a
21 mushy situation, which in turn leads to the fact that
22 patient preferences become terribly important, and our

1 assumptions about those frequently are wrong. There is data
2 about even simple things like use of antibiotics in
3 respiratory infections. I think most physicians feel that
4 patients are expecting an antibiotic prescription, and there
5 are a lot of studies that show that isn't necessarily true,
6 that if you really explain to people the pros and cons of
7 that, which, unfortunately, busy physicians tend not to want
8 to take the time to do, that, in fact, frequently that is
9 not what they expect.

10 So it is a complicated area, but figuring out ways
11 that we can ascertain what patients' values and preferences
12 really are is terribly important, and that is why this is
13 such an important area that we need to move forward in.

14 DR. REISCHAUER: Yes, everything that I wanted to
15 say has been said at least twice. I am a big supporter of
16 moving ahead in this, but I am a big skeptic on the
17 potential here and would reinforce those who have said this
18 is probably going to be good for some conditions for some
19 types of people. There are a lot of people who want to
20 subcontract these decisions to experts, and that's a
21 problem. And, you know, where we see the biggest evidence
22 of these things working seems to be in breast and prostate

1 cancer, end-of-life, and we all know that people get engaged
2 when we are talking about sex or death, but, you know, how
3 far you can extend this, I think we should look at the
4 literature on the other thing people are interested in,
5 which is money, and what happens with 401(k) plans where
6 employers, when they set these up, assume that all their
7 employees are little Warren Buffetts and want to manage them
8 and, you know, change them day to day and all of this. And
9 the evidence shows that you can bombard people with
10 information and decision tools and everything like that, and
11 they never use them, most of them, no matter what the market
12 is doing and all that. And I suspect -- you know, a lot of
13 people have said concentrate on these few kinds of areas,
14 and that's very good advice.

15 MR. HACKBARTH: Okay. Well, clearly you hit a
16 topic of interest to people.

17 DR. SOKOLOVSKY: Does that mean I can skip my
18 next one?

19 [Laughter.]

20 MR. HACKBARTH: No. That means you have to come
21 back more often.

22 Okay. Next up is the Impact of Physician Self-

1 Referral on Use of Imaging Services Within an Episode.

2 MR. WINTER: Good morning. Jeff and I will be
3 presenting results of our analysis on physician self-
4 referral and the use of imaging within an episode of care.
5 We would like to first thank Jennifer Podulka and Hannah
6 Neprash for their help with this work.

7 So here is an outline of our discussion. We are
8 going to first summarize prior MedPAC work on imaging. We
9 will then review data on the growth of imaging and reasons
10 for this growth. We will talk about the growth of imaging
11 performed in physician offices. And then we will walk
12 through the methodology and results from our two studies,
13 the first of which is the impact of self-referral on the use
14 of imaging, and second, whether episodes of more imaging
15 have lower total costs for the episode. We plan to include
16 this work for a chapter in our upcoming June report.

17 In prior reports, MedPAC has recommended quality
18 standards for all imaging providers as well as changes to
19 improve payment accuracy. Most recently, the Commission
20 recommended an increase in the equipment use standard for
21 expensive imaging machines, and this would reduce practice
22 expense RVUs for services that use this equipment.

1 In the last couple of years, we have also had
2 expert panels speak to the Commission about use of
3 appropriateness criteria, efforts by private plans to
4 require prior authorization, and physician self-referral.

5 This chart, which you have seen in our March
6 report, shows that the volume of imaging per beneficiary has
7 been growing faster than other physician services. Between
8 2002 and 2007, cumulative volume growth of imaging was 44
9 percent versus 23 percent for all physician services. This
10 increase is likely driven by multiple factors, including
11 technological innovations, incentives in Medicare's payment
12 systems, defensive medicine, consumer demand for diagnostic
13 tests, lack of research on the impact of imaging on clinical
14 decision making and patient outcomes, inconsistent adherence
15 to clinical guidelines, as well as physician ownership of
16 imaging equipment and opportunities to earn ancillary
17 revenue.

18 And this chart from GAO illustrates the last point
19 I made. It shows the increase in the percent of total Part
20 B revenue derived from imaging performed in the office for
21 specialties other than radiology, and you can see large
22 increases for several of the specialties between 2000 and

1 2006.

2 Supporters of in-office imaging contend that it is
3 more convenient for patients. According to one study, for
4 seven of the eight conditions studied, patients are more
5 likely to receive a test on the same day as their office
6 visit if they are seeing a self-referring physician. For
7 example, about 12 percent of patients with cardiac or
8 coronary disease who saw a self-referring physician received
9 a nuclear medicine study on the same day as their office
10 visit, compared to 5 percent of other patients. In
11 addition, getting test results faster helps physicians
12 develop treatment plans.

13 However, in-office imaging does raise some
14 concerns. As Lawrence Baker discussed at our September
15 meeting, there is evidence that adding more CT and MRI
16 machines in a market is associated with higher overall
17 volume. In addition, physicians who purchase machines for
18 their office have a financial incentive to refer patients
19 for additional tests as long as they are profitable.
20 Indeed, several studies which are summarized in your paper
21 have found that physicians who own imaging facilities or
22 provide imaging services in their office refer physicians

1 for more tests than other physicians.

2 Many of these studies have limitations, which we
3 have tried to address in our own study. Most of the prior
4 studies are based on older data. Only two of the studies
5 controlled for differences in patients' clinical conditions.
6 Only one study examined whether physicians refer patients to
7 other members of their practice. And none of them examined
8 the impact of self-referral on standardized imaging spending
9 during an entire episode of care.

10 So now I will switch gears to talk about the
11 methodology for our analysis. We first identified
12 physicians who self-referred for imaging. To do this, we
13 used the 100 percent Medicare claims file for six markets,
14 which are listed on the slide, and we defined self-referring
15 physicians as those who refer more than half of the imaging
16 services they order to their practice. This rule is applied
17 severally to each modality. So, for example, physicians can
18 be considered self-referring for MRI but not CT. And we
19 assume that physicians who share the same tax number are in
20 the same practice, which is an assumption that MedPAC has
21 made in prior work.

22 We used Episode Treatment Groups, or ETGs, to

1 group claims into clinical episodes. MedPAC has been using
2 ETGs to measure physician resource use for a couple of
3 years. Within each ETG, episodes are stratified based on
4 the presence of comorbidities and complications, the type of
5 treatment, and patient severity. We selected 13 ETGs for
6 this study.

7 For the ones we selected, imaging accounts for a
8 significant share of overall resource use, and the ETGs we
9 chose collectively represent a broad range of conditions and
10 modalities and are treated by a variety of specialties. For
11 each ETG, we selected one or two imaging modalities, for a
12 total of 22 ETG modality combinations, and here are the ETGs
13 and modalities we selected.

14 Next, we categorized episodes as being self-
15 referral or not, as involving self-referral or not. Self-
16 referral episodes are those in which at least one self-
17 referring physician provided an office visit and non-self-
18 referral episodes are those in which no self-referring
19 physician provided an office visit. We used office visits
20 to identify physicians who were involved in managing the
21 patient's care during the episode.

22 We compared self-referral with non-self-referral

1 episodes in two ways. First, we calculated the percent of
2 episodes in each category that received at least one imaging
3 service. And second, we compared the ratios of observed to
4 expected imaging spending for each category. We have used
5 O/E ratios in our other work with ETGs. The observed value
6 equals the imaging spending for that episode and the
7 expected value equals average imaging spending for episodes
8 within the same ETG patient severity level, geographic
9 market, and the specialty of the physician who accounted for
10 most of the E&M dollars. In other words, the O/E ratios
11 tell us the costliness of an episode relative to similar
12 types of episodes.

13 I also should mention that we did not examine the
14 impact of imaging on patient outcomes.

15 Jeff will now present the results from our
16 univariate analyses.

17 MR. STENSLAND: To test for self-referral's
18 association with the odds that a patient receives imaging,
19 we separated patients into different episode types and then
20 compared episodes with a self-referring physician to
21 episodes without a self-referring physician. All the
22 episodes that I will be talking about today occurred during

1 2005.

2 The punch line is that patients are more likely to
3 receive an imaging study if their episode includes a visit
4 to a self-referring physician. This is true for all 13
5 types of episodes. However, the magnitude of the effect
6 varies by type of episode and imaging modality. Among the
7 22 types of imaging studies we evaluated, we found between a
8 two percentage point increase in the share of patients
9 getting imaging and a 23 percentage point increase in the
10 share of patients receiving imaging. The detailed data is
11 on page 19 of your mailing materials.

12 For example, we looked at migraine headache
13 episodes. We found that 14 percent of migraine episodes
14 with a self-referring physician had an MRI. In contrast,
15 only 8 percent of migraine episodes without a self-referring
16 physician had an MRI. Therefore, self-referral was
17 associated with a six percentage point increase in the share
18 of patients receiving an MRI.

19 The differences are all statistically significant
20 except for CT scans of lung patients. In that case, self-
21 referral appears to have little effect. However, in the
22 remaining 21 of 22 imaging modality pairs, or episode

1 modality pairs, self-referral always had a statistically
2 significant association with the likelihood of receiving
3 that type of imaging at least once during the episode.

4 Next, we shift to testing differences in imaging
5 spending. This analysis differs from the first in two key
6 aspects. First, imaging spending takes into account how
7 many imaging studies the person received. And second, as
8 Ariel mentioned, the spending analysis adjusts for the
9 severity of the case, the MSA, and the specialty of the
10 physician primarily seeing the patient. We asked the
11 question, did self-referral episodes have more than expected
12 imaging spending? The punch line is that self-referral
13 episodes tend to have between 5 and 104 percent more imaging
14 spending than similar episodes without self-referral. The
15 table with the detailed data is on page 22 of your mailing.

16 For example, we compared spending on MRIs of the
17 brain for similar migraine patients. We find that relative
18 to expectations, the patients with self-referring physicians
19 had 85 percent more spending on MRIs than episodes without
20 any self-referring physicians.

21 We can go further into our methodologies and
22 proposed future refinements to our methods during the

1 question period, but the message from both analyses is the
2 same. Self-referring episodes are more likely to receive
3 imaging.

4 Now, we are not the only ones doing these type of
5 studies, and you may be wondering how our findings of a four
6 to 104 percent increase in imaging spending compares to
7 other studies. In a 2007 study by Gazelle and colleagues,
8 they found that self-referral was associated with between a
9 10 percent and 130 percent being more likely to receive
10 imaging. So in general, their results are similar to our
11 results.

12 And last fall, Loren Baker, a health economist
13 from Stanford, came to a MedPAC meeting and presented his
14 findings on self-referral and the odds of receiving an MRI.
15 He showed that individual orthopedic surgeons and
16 neurologists increased their rate of providing MRIs between
17 22 percent and 28 percent after they started billing for the
18 technical component. What was interesting about Loren's
19 study is that he found that individual physicians changed
20 their practice patterns after they gained the ability to
21 bill for imaging services.

22 In addition, there are some older studies from the

1 1990s that also found a relationship between imaging and
2 self-referral and these results tended to be even more
3 dramatic.

4 The general point is that we have several studies
5 over a period of 20 years. The studies used different data
6 sets, some from private insurers and some from Medicare.
7 They used different methodologies and different definitions
8 of self-referral. But the results from these various
9 studies are all consistent with what we are representing
10 today. Self-referral is associated with more imaging.

11 Ariel will now discuss the relationship of imaging
12 to total episode spending.

13 MR. WINTER: We also used ETGs to examine whether
14 episodes with more imaging spending have lower total imaging
15 regardless of the self-referral status of the episode.
16 There is evidence in the literature that imaging in specific
17 circumstances prevents surgeries and reduces hospital costs
18 and the question is whether these examples translate into
19 broader savings for an entire episode of care.

20 We examined whether the observed-to-expected
21 ratios of imaging spending are correlated with ratios of
22 total episode spending, excluding outpatient prescription

1 drug costs, which we did not have in the data. If greater-
2 than-expected imaging spending leads to lower-than-expected
3 total spending, we would find a negative correlation. We
4 used the same 13 ETGs that we used for our self-referral
5 study as well as 2005 data.

6 For each ETG, we found that imaging spending was
7 positively correlated with total episode spending. The
8 correlation coefficients ranged from 0.19 to 0.60 and all of
9 them were statistically significant. These results suggest
10 that more imaging is associated with greater use of all
11 services during an episode, adjusting for a patient's
12 clinical condition, their severity, and other factors. We
13 also found that imaging spending was positively correlated
14 with spending on procedures. The detailed results are on
15 page 25 of your paper.

16 One might ask why our findings differ from studies
17 in the literature which show that in certain cases, imaging
18 is associated with lower use of other services. One
19 explanation is that we looked at the impact of imaging on
20 total spending within an episode whereas other studies
21 examined the question more narrowly, for example, whether
22 certain diagnostic tests within a limited time frame reduced

1 hospital costs or length of stay during an admission.

2 And second, we examined 13 clinical conditions.

3 The relationship between imaging and total spending may be
4 different for other conditions, for example, use of CT scans
5 for a suspected appendicitis.

6 So we have some suggestions for your discussion.
7 We would like to get your feedback on our studies and our
8 findings. We would like to get feedback on whether there
9 are additional analyses we should consider performing and
10 whether there are policy options we should investigate, for
11 example, encouraging greater use of appropriateness
12 criteria, improving payment accuracy, or bundling multiple
13 services into a single unit of payment.

14 Thanks, and we look forward to your discussion.

15 MR. HACKBARTH: Okay. Could I see hands for first
16 round clarifying questions? Peter and Ron and John, Mitra,
17 George, and Bob. Peter?

18 MR. BUTLER: One comment. I will have a comment
19 in round two, but this is like one of those fruits on the
20 MedPAC vine that is about to ripen. We are just not sure
21 what we are going to pick and recommend, but we have
22 discussed it obviously a lot.

1 You make in the chapter a suggestion, you know,
2 thinking about looking at nonprofit organizations as an area
3 of further study and you define in the footnote that means
4 where perhaps the health system employs the physician. I am
5 not sure that that is an easy thing to do. You may even
6 have joint ventures between health systems and physicians.
7 The clean definition doesn't seem -- if you could clarify a
8 little bit more what you are after, because I think probably
9 whether the physician is getting some indirect or direct
10 financial benefit out of it is the real issue, not
11 necessarily where it is a nonprofit or for-profit system,
12 but if you could elaborate a little bit more on your
13 thinking on that.

14 MR. STENSLAND: All right. So we do think it is
15 not going to be a clean situation. It is probably going to
16 be muddy, and I will start with the example that you may
17 have a nonprofit practice that is independent or owned by
18 the hospital and the physicians may believe that the more
19 profitable the practice is, the easier it is for them to ask
20 for larger raises, even though it is nonprofit. So there
21 might be some financial motivation to increase imaging even
22 if you are in a nonprofit.

1 And then there is the question of what exactly we
2 are going to use, and what we have data on is the tax ID
3 number of the practice that is billing for those office
4 visits. So if they are a nonprofit practice and they are
5 billing for those office visits and they are billing for the
6 imaging, then we will call them nonprofit, and that could be
7 a nonprofit practice affiliated with an academic medical
8 center or it could be an independent nonprofit practice.

9 The convoluted effect there of the academic
10 medical center effect versus the nonprofit effect is also
11 something we are going to have to try to tease out and that
12 is some future work we are going to have to do, and it is
13 going to be maybe somewhat difficult to get accurate data on
14 who is actually working with an academic medical center
15 because we would like to maybe distinguish this independent
16 nonprofit practice, and you see some of these like in
17 Minneapolis, from the academic medical center where you have
18 the residents and the attendings seeing the physician [sic]
19 because there could be two different effects going on there.
20 There could be the nonprofit effect and also kind of the
21 teaching resident effect and we are going to have to try to
22 tease those out.

1 So maybe that is a long answer to your question,
2 but the basic gist of it is we have a variable that
3 identifies whether that tax ID number that is billing for
4 the imaging or for the E&M services is nonprofit. We
5 probably won't be able to get into all the different joint
6 ventures and intricacies.

7 DR. CASTELLANOS: Pretty eye opening, and I think
8 you did a good job. I really do. It is something I think
9 we all expected.

10 Two questions. One is the data, the claims data
11 you used in at least the material that you sent to us was up
12 to 2005. I know we have data from 2006 and 2007. It may be
13 important to use that data also. I think there is some
14 change from the DRA.

15 MR. WINTER: Yes, those are very good points. We
16 started this about a year-and-a-half ago. The latest data
17 we had grouped into the ETGs was 2005, through 2005, and I
18 think we have added 2006 since then and perhaps we can
19 explore adding 2007 data and then extending the analysis and
20 looking at more recent data could be a valuable
21 contribution.

22 DR. CASTELLANOS: Great. And I think the second

1 question is, when you had on, I think it was slide whatever
2 it was where you had the drivers, one of the things that you
3 need to also look at is quality of care and outcomes. I
4 know that is going to be pretty hard, but I think outcomes
5 are going to be really important. Has there been any
6 interest in that, or is there any direction on that?

7 MR. WINTER: As you were saying, identifying
8 outcomes as being related to use of imaging, there is not
9 much research in general on that question and so it would be
10 difficult for us to identify outcomes in an episode that we
11 could say are reasonably related to whether or not the
12 patient got a specific imaging study.

13 The one thing that Ingenix, which produces ETGs,
14 they also produce something called EBM Connect, which MedPAC
15 has explored in previous work, which does look at some
16 measures of appropriate use of certain services. So there
17 are a couple of imaging tests which they consider to be
18 recommended and appropriate and they rate the percent of
19 time that patients got that imaging test. On the other
20 hand, there are also tests which they -- which according to
21 clinical guidelines are not recommended for certain
22 circumstances and they identify when those tests are being

1 overused.

2 And in future work, we can try to relate use of
3 recommended services or services that are not recommended
4 against -- or that are recommended against, relate to
5 whether the self-referring physician was involved or not.
6 And it doesn't quite get to outcomes, but it is looking
7 maybe at the appropriateness of the care.

8 MR. HACKBARTH: So, Ariel, you say that there
9 haven't been many studies looking at the relationship
10 between use of imaging and outcome. I assume that is not
11 because of a lack of interest, it is just because it is so
12 inherently difficult to connect?

13 MR. WINTER: Yes. I think that is what I have
14 read in the literature, and there are a couple of studies
15 that have talked about the lack of evidence on this question
16 and it seems -- I mean, some folks made the argument that we
17 just haven't invested the resources, that it is possible to
18 get there. Other folks make the argument that it is very
19 difficult to relate a specific outcome to a diagnostic test.

20 MR. HACKBARTH: Okay. John?

21 MR. BERTKO: A quick follow-up question. When you
22 did the expected part of your observed to expected, did you

1 include all parts of the episodes, even including these ones
2 that seemed to be high, or is it only the first group
3 without the higher-cost people?

4 MR. WINTER: I'm not quite sure I understand the
5 question, but we did include everybody who had the episode -

6 MR. BERTKO: Okay.

7 MR. WINTER: -- and we stratified them by their
8 patient severity and whether or not there were comorbidities
9 or complications.

10 MR. BERTKO: So if one were to surmise that
11 perhaps there is some episodes with inappropriate levels of
12 care, comparing it to, and I will use "efficient" in quotes
13 here, it could actually be a larger difference and perhaps
14 there would be even more savings when you made those
15 comparisons?

16 MR. WINTER: Perhaps, or we might find that there
17 is sort of the same level of inappropriate care for both
18 high- and low-spending episodes.

19 MR. BERTKO: Okay.

20 MR. WINTER: But it is a question worth looking
21 into.

22 MS. BEHROOZI: I am sorry. I just need to ask you

1 to go over how you determined whether a physician was a
2 self-referring physician.

3 MR. WINTER: Back to that slide. Okay. So for
4 each UPIN, which is how we identify a unique physician, we
5 looked at all of the imaging studies within a modality that
6 they ordered, and that was our denominator. And then we
7 looked at the studies that they ordered that were performed
8 by their practice, and that was the numerator, okay. So we
9 made that calculation, and if they -- if 50 percent or more
10 of the studies they ordered were performed by their
11 practice, which was based on a tax number association, then
12 we said, you are a self-referring physician.

13 And the next step was then to identify whether an
14 episode involved a self-referring physician, and to do that,
15 we looked at whether a physician who met our definition of
16 self-referring provided an office visit during the episode,
17 an E&M office visit, and that was to identify -- we wanted
18 to see whether any of the physicians involved in managing
19 the patient's care was identified as a self-referring
20 physician.

21 MS. BEHROOZI: I think I am confused about
22 something in the paper, because you referred also to a less-

1 restrictive definition using the one percent, and I just
2 wondered if there was a big difference between them and if
3 any of the results were based on that standard.

4 MR. WINTER: Okay. So the results presented today
5 are based on the more restrictive standard of 50 percent or
6 more, and I appreciate your mentioning that. In the paper,
7 we did talk about a less-rigorous definition based on
8 whether the physician -- at least one percent of their
9 imaging cases that they ordered were performed by their
10 practice, and we did the same kind of analysis that you saw
11 here, but the results were not very different and they were
12 statistically significant in the same direction. So that is
13 why we didn't present them.

14 MR. GEORGE MILLER: I promise, Mitra and I did not
15 talk about this, but I have the same question, particularly
16 about how you chose the 50 percent as the definition of
17 self-referral. If it was, say, 25 percent, would there be a
18 material change?

19 MR. WINTER: What we did, as well -- so we did two
20 analyses. One is 50 percent or more than 50 percent --

21 MR. GEORGE MILLER: Right.

22 MR. WINTER: -- and then we did anybody above one

1 percent. So it was a broader group.

2 MR. GEORGE MILLER: Right.

3 MR. WINTER: And the magnitudes, I think, were
4 slightly larger when you used the more restrictive
5 definition, the one we presented.

6 MR. GEORGE MILLER: Right.

7 MR. WINTER: But it wasn't a huge difference, like
8 it wasn't like it went from, you know, a 5 percent
9 difference in the ratios to a 100 percent difference. It
10 was marginal. I'm sorry, I don't have the numbers off the
11 top of my head, but that is something we can look into for
12 the future.

13 MR. GEORGE MILLER: That is fine. But if this is
14 an issue and we are concerned about the financial impact,
15 would that difference be material -- I understand it is not
16 material percentage-wise, but it would be material dollar-
17 wise, just trying to save dollars for the Medicare program.

18 MR. WINTER: It is certainly a broader group of
19 episodes that meet the self-referral -- that are in the
20 self-referral category if you use a less-restrictive
21 definition. So you would include a broader -- more
22 episodes, more dollars, if that answers your question.

1 MR. GEORGE MILLER: So is that significantly more
2 dollars?

3 MR. WINTER: There are definitely more episodes.
4 I would have to go back and look at how many more and how
5 many dollars they represent, so I will have to get back to
6 you on that.

7 MR. GEORGE MILLER: Okay. Thank you.

8 DR. REISCHAUER: Just on that question, do you
9 have a distribution of self-referral-dom? I mean, I would
10 think it would be terribly skewed. I mean, there would be a
11 lot at zero and a lot at 80 percent or more and not a whole
12 lot in between, which would answer George's question, I
13 think, but that --

14 MR. WINTER: We didn't calculate that, but we can
15 certainly do that. We have the data to do a distribution.

16 DR. REISCHAUER: That is just sort of a question.

17 I was going to ask Ron's question about outcome
18 information and the lack thereof, and given your answer, I
19 was wondering if we have longitudinal information and could
20 take as a rough proxy for outcome spending in the two years
21 following the episode on the same diagnoses or related ones.
22 I don't want you to answer that, just think about it.

1 MR. WINTER: Right. We could think about that.

2 DR. REISCHAUER: I am not sure.

3 DR. CHERNEW: So I am fascinated by this, although
4 you might be surprised that one of the things I am most
5 interested in is Endnote 8.

6 [Laughter.]

7 DR. CHERNEW: Endnote 8 is the endnote that talks
8 about the severity adjustment, and one of the challenges in
9 all of this work is how balanced the people are, and so in
10 the chapter, it says often adjusted for severity and stuff.
11 So what I gather you did, just to clarify, is the ETG
12 software does all of the adjustments for you, so you
13 actually didn't do the adjustments. It just spits out based
14 on its black box version of age and gender and things four
15 scores of severity -- "not so bad," "oh no" levels --

16 MR. WINTER: Yes. Just to clarify, so it is
17 actually up to four. Some ETGs only have one category. The
18 most is four. And then in addition to that, they also
19 stratify by whether or not there are comorbidities or
20 complications in the episode that would be expected to
21 increase overall resource use for the episode. So that is
22 in addition to patient severity, even though those things go

1 into the patient severity calculation.

2 DR. CHERNEW: So one needs to rely on that, in
3 general, particularly as you move to the spending portion of
4 it, because any residual case mix confounders could show up.
5 So my first question is, have you looked to see how those
6 numbers, the comorbidity and severity numbers, differ across
7 self-referring and non-self-referring so we can tell at
8 least on observed factors these patients seem to be
9 different, so we might be worried about other things.

10 The second thing is, do you know in the methods
11 that the ETG software uses if the actual receipt of an MRI
12 or the results that you get from an MRI could in and of
13 itself push you into a different severity or comorbidity?

14 MR. WINTER: It doesn't, no. No. So the
15 diagnostic tests, any claims from diagnostic tests, like
16 imaging, had no influence on whether or not you were counted
17 as having a comorbidity or complication. It would have to
18 be a diagnosis on an E&M claim or a surgical claim for
19 procedure or a facility claim. But I will double-check
20 that, but that is my understanding.

21 DR. CHERNEW: That is fascinating.

22 MR. WINTER: You seem surprised.

1 DR. CHERNEW: Well, I would think that if you do
2 an imaging procedure and you find something on the imaging
3 procedure, that result might -- maybe it wouldn't in and of
4 itself, the imaging procedure, but that might push the
5 course or practice in a certain way that would generate some
6 other codes that would make you seem more or less severe.
7 In fact, I was worried before about it --

8 MR. WINTER: Correct.

9 DR. CHERNEW: -- seem like you did or didn't have
10 the episode. So if you don't have the ETG, you don't get I
11 don't know what degenerative knee thing. The problem is,
12 you know, I don't understand a lot of these. But the
13 degenerative knee one. Maybe you don't know it's
14 degenerative or whichever the other one that began with a
15 "D" was unless you've done the imaging and seen that it's
16 not attached or is too attached or whatever is wrong with
17 the knee.

18 MR. WINTER: So let me clarify --

19 DR. CHERNEW: So the imaging stuff might affect
20 those things. I'm just not sure if that's a big deal or
21 not.

22 MR. WINTER: Okay. So it doesn't affect whether

1 or not the episode gets started. So if you show up at the
2 doctor and you get an MRI of the knee and the diagnosis is
3 derangement of the knee, that wouldn't initiate the episode.
4 But if the E&M office visit had that diagnosis on it or
5 there was a procedure that followed that had that diagnosis,
6 that would initiate the episode.

7 But you make a good point in that because you do
8 the imaging, you learn about the condition and then you do
9 either an office visit or a procedure or something. That
10 gets incorporated into the episode and that can lead to it
11 being coded as a more severe episode.

12 MR. HACKBARTH: Any other first round questions?
13 Peter?

14 MR. BUTLER: Just one clarification. Our previous
15 recommendations, we have gone after the quality issue. We
16 have gone after the technical component recommendations. We
17 have not yet recommended anything on, call them the
18 arrangements that might be acceptable or incentivized or not
19 incentivized, is that right?

20 MR. HACKBARTH: The only thing -- oh, Ariel, you
21 go ahead.

22 MR. WINTER: So we have made two recommendations

1 on the Stark rules, which are -- I'm not sure I'd call them
2 minor, but they don't go after the in-office ancillary
3 exception, which is the real big one. One was to -- that
4 CMS should include nuclear medicine procedures on their list
5 of designated health services, which are the ones subject to
6 the Stark laws, and CMS went ahead and did that.

7 And the second one was we recommended that CMS
8 should prohibit physicians from leasing equipment to
9 providers of designated health services. So a physician
10 buying an MRI machine or investing in one and leasing it to
11 a hospital or an imaging center and then getting profits
12 from whenever they send a patient for those services. And
13 CMS prohibited those arrangements subsequently if they are
14 on a per unit basis, so if you get paid every -- like a per
15 click basis, but not if the payment is fixed in advance, and
16 our recommendation covered both kinds of things. So those
17 are the recommendations we have made on the Stark rules.

18 MR. HACKBARTH: Round two questions or comments.

19 MR. GEORGE MILLER: Thank you. This may be
20 question one-and-a-half, but in the text, you talked about
21 episodes with more imaging, if they lower total cost, and
22 I'm wondering if you're able to determined, based on that

1 analysis, what the financial impact to CMS would be if that
2 were the case, and if not, and if it was changed, what would
3 be the cost savings to CMS.

4 Let me see if I can clarify that a little better.
5 If more imaging lowered cost, if that assumption is true,
6 what is the savings to CMS?

7 MR. WINTER: We found it not to be true. We found
8 that more imaging --

9 MR. GEORGE MILLER: Right.

10 MR. WINTER: -- associated with more total costs.

11 MR. GEORGE MILLER: Then since it's not, then
12 what's the converse? What's the answer? How much is it
13 worse?

14 MR. WINTER: I mean, so --

15 MR. GEORGE MILLER: What does it cost more because
16 it is not lower cost? How much more is Medicare paying for
17 these additional tests that don't lower downstream costs and
18 hospitalizations and others?

19 MR. WINTER: Okay. So we found that --

20 MR. GEORGE MILLER: So you order more tests. That
21 means we're spending more money and maybe on something that
22 should not have been done.

1 MR. WINTER: Our correlations looked at an
2 association between the two things.

3 MR. GEORGE MILLER: Right. Right.

4 MR. WINTER: We didn't look at causation, so
5 that's a more difficult question to answer. But our
6 correlations were between 0.19 and 0.60, so at the high end
7 for every dollar in additional imaging spending, we found an
8 additional 60 cents in total episode costs, or total episode
9 spending.

10 MR. GEORGE MILLER: So can you extrapolate that to
11 the whole --

12 DR. MARK MILLER: I think you need to be a little
13 bit careful here on at least two fronts. Number one, his
14 question is really more a parameter estimate question, how
15 much change produces that, as opposed to a correlation
16 question. And also, we looked at 22 episodes here and the
17 generalizability across episodes more broadly.

18 So what I would like to do is maybe take this
19 question offline and kind of talk among ourselves about
20 whether we can answer that. Off the cuff, I'm not sure we
21 can.

22 MR. GEORGE MILLER: But something's wrong.

1 DR. REISCHAUER: Ariel, could you just repeat
2 that? You said that if you spent a dollar more on imaging,
3 the total episode cost would go up 60 cents?

4 MR. WINTER: At the high end. So there was a
5 range based on ETG.

6 DR. REISCHAUER: The high end, meaning that you
7 would, in a sense, spend 40 cents less on everything but
8 imaging?

9 DR. CHERNEW: Right. That's why Mark's question
10 is important.

11 DR. MARK MILLER: I don't want to have this
12 conversation --

13 [Laughter.]

14 DR. MARK MILLER: I'm sorry to be this way.
15 You've asked a very good question. Exactly whether this
16 analysis will allow it to answer it, I really would like to
17 talk to these guys before we just kind of talk out loud
18 about it.

19 MR. GEORGE MILLER: So I shouldn't have asked the
20 question?

21 [Laughter.]

22 MR. WINTER: I shouldn't have answered it.

1 MR. MATHEWS: George, we'll get back to you with a
2 more thoughtful answer and discuss the potential plan that
3 it would take to answer your question and evaluate whether
4 it is worth that much work to get an answer for it.

5 DR. CROSSON: Just a couple of points. In terms
6 of the disadvantages, I guess, of over-utilization of
7 imaging procedures, in addition to the financial ones, which
8 you have laid out very well, I think there is an important
9 issue of patient safety, particularly with respect to
10 modalities that use ionizing radiation, plain film
11 certainly, but particularly CT scans, and I think it may not
12 be intuitive to folks, the difference in the radiation dose
13 that is inherent in some of these modalities and so many CT
14 scans carry radiation doses which are orders of magnitude
15 greater than some plain films. And there's no question
16 about the fact that, particularly for people who receive
17 repeated CT scans, there is an increased risk of cancer and
18 other morbidity from that.

19 So there is more than just dollars at stake. But
20 there are dollars at stake, and I think we have been working
21 on this for some time, to try to figure out what we could do
22 to change the path of the increase in imaging cost.

1 We haven't gotten yet to policy questions, and I
2 think we're going to get there at one point. But when we
3 do, I think my preference would be to look first at policy
4 options that deal with removing the incentive for over-
5 utilization as opposed to policy options that serve to
6 remove the capability of physicians to perform these tests,
7 because I do think that there are -- and you mentioned it --
8 there are some legitimate issues of patient convenience as
9 well as issues of timing and getting to a diagnosis and
10 things that help people, particularly people with
11 significant medical problems, come to grips with what is
12 going on.

13 So I would like to see us take a hard look at
14 modeling things like bundled payments, for example, and
15 other counter-incentives that might remove or significantly
16 mitigate the inherent incentive to over-utilization. And
17 then failing that, if we determine that that simply can't
18 work because of complexity or other issues as we model it,
19 as a secondary issue, look to removing the capability,
20 because I think there would be a loss there in terms of the
21 quality of care.

22 DR. CASTELLANOS: Can you go to Slide 9 just for a

1 second? Just out of interest, in these six communities,
2 have you looked at whether there is any geographic variation
3 within these communities? I think that would be
4 interesting, because then we get to practice patterns, we
5 get to stuff like that, and I think that would be really
6 interesting to see if there is any variation in practice
7 patterns.

8 MR. WINTER: I could answer that. The spending
9 ratios take that variation into account in the way we
10 calculated the expected value. So it is sort of adjusted
11 for each MSA. I think your question is more about if we --
12 what is the level of use or the spending among or between
13 those geographic areas.

14 DR. CASTELLANOS: That's correct. That would be
15 interesting.

16 The second question is a question you don't want
17 to bring up, Mark. Intuitively, I think if you do -- you
18 don't do x-rays on somebody just to do x-rays. You do it
19 because of a reason and you expect to find something. And
20 by finding something, you can take care of it. So it's
21 going to increase cost and, I would hope, increase outcomes.
22 Today, we are finding so many more aneurysms, so many more

1 renal cell carcinomas because of CT scans. Now, is that
2 good? I think it is. So I think the downstream effect may
3 be much better with outcomes than we expect.

4 And the third thing, and again, this is appalling,
5 what we see here, but why are physicians doing it? Well,
6 they're doing it for one reason only, to increase income.
7 And I think it's a reflection on our, unfortunately, the
8 Physician Payment System and the incentives in the fee-for-
9 service. Because of the unfunded mandates, because of the
10 lack of significant updates, because of business and
11 practice expenses, I am forced to do things that perhaps I
12 don't really want to do. And the reason I do it is because
13 I want to stay in business. I'm a small businessman. I
14 have 80-some employees. So to stay in business, you know,
15 it's unfortunate, but it's true.

16 And I would really like to -- I think the bigger
17 problem here is working on the issue of Physician Payment
18 System reform. I think that's the real big issue, and if
19 you look at the Mayo Clinics or you look at the Kaiser
20 Permanente clinics, I think you'd probably see that a lot of
21 the imaging is significantly down in those clinics because
22 these doctors are not being incentivized to do these

1 procedures.

2 MR. BUTLER: Three comments. First is, I may be
3 wrong, but I'm not sure -- MedPAC staff is limited, and I'm
4 not sure study after study of this is necessarily the
5 highest priority for us, because so many people are looking
6 at this from a variety of angles and we're all coming to the
7 same conclusion, that there's a lot of utilization. So I'd
8 almost like to spend more energy on kind of getting to some
9 recommendations, not obviously in June, but, you know, next
10 year.

11 Secondly, I think there's still an educational
12 component here, that in another chapter, another -- we might
13 think about. I'm not sure everybody kind of fully
14 understands the dimensions. One is the specialty dimension,
15 primary care and orthopedics and cardiology, et cetera.

16 Second is the setting. In the office itself is
17 one setting. In the building is another setting. And
18 freestanding is a third setting.

19 And the third dimension is the economic
20 relationship. Do you wholly own it? Do you lease some
21 time? Do you have a per click, which you've already
22 commented on? Or do you have no economic relationship?

1 That is almost like a three-sided thing and each of those
2 has different implications. But I suspect that that kind of
3 framework would help lead us where we want to make some
4 recommendations, and frankly, it is all about the last
5 piece, the economic part of it, and I think it's partly
6 reinforced by your comments here.

7 And I would say, similar to their comments, if you
8 had, and I could point to your example, a multi-specialty
9 group practice with as many as 60,000, 70,000 capitated
10 lives in a freestanding facility, I guarantee you they'd sit
11 there and have MRI and CT and a range of services because
12 you know what? It would be cheaper and less utilized and
13 coordinated on behalf of the patient than -- and yet, you
14 know, here they go. They have got it owned. It is right in
15 their office. But it is being used appropriately. Why?
16 Because the finances are lined up to do it in an appropriate
17 fashion. In the end, that's what's going to change it, I
18 think, more than anything else.

19 DR. BORMAN: Just two comments. One is relative
20 to the outcome piece. I think in the end, the answer is
21 going to be that it is mixed and that there won't be a
22 simple answer to this, and that's just based on thinking

1 about a number of clinical conditions.

2 For example, what was brought up about detection
3 of abdominally aortic aneurysms at smaller sizes may, in
4 fact, allow some people to get treated so that rupture of
5 aneurysm is not their acute mode of demise. On the other
6 hand, in cancer surveillance, the data are pretty limited
7 that repeated frequent imaging prolongs survival. It may
8 shorten time of detection of recurrence, but in terms of
9 prolonging survival for many malignancies, that is a lot
10 harder to demonstrate and there is a lot of -- or advanced
11 imaging done for the purpose of monitoring disease. You
12 know, in the end there's a societal value judgment about how
13 much is one life worth, which is a very difficult decision,
14 and in the end, I'm somewhat grateful that Congress is the
15 benefits manager that will have to represent us in that
16 societal decision.

17 I think the other part of that also is the
18 detection of things that are unexpected and, in fact, are
19 what we call incidentalomas. In my own particular world of
20 endocrine surgery, that is highly common. For example, all
21 these CT scans of people's cervical spine, lots of thyroid
22 nodules turn up that people never knew they had, that likely

1 they would go to their grave never knowing that they had,
2 and yet it triggers a fair amount of intervention and an
3 adrenal nodule triggers an even higher level of intervention
4 and a very expensive one even to do cost effective work-up
5 of that nodule. So I think there's a lot of pieces to that
6 and that we need to be really careful about getting caught
7 up in that just on a whole host of reasons, some of which
8 have already been mentioned.

9 One question that I wonder -- would be interested
10 in answering because of what Jay brought up about safety is
11 whether we could do any kind of quick and dirty calculation
12 as to roughly how many beneficiaries per year are reaching
13 an unsafe radiation dose. Can we aggregate on an individual
14 beneficiary that is getting multiple scans? Can we sort out
15 people who are getting multiple CT scans, for example, and
16 just find out how close we are coming to those bad
17 thresholds, because that may be a powerful piece of
18 information.

19 It is kind of the -- we have an intrinsic wish
20 about wanting to know the answer with certainty. That is
21 what has led to a lot of use of advanced imaging. The
22 patient wants to know. The doctor wants to know. We want

1 to know now. We have these fancy tools. Let's use them.
2 But if there's a good counter-argument that is, this is
3 putting me at risk for something bad, I think that's a
4 powerful conversation to have with patients and with payers,
5 and so if there's some way we could talk about that question
6 and think about it -- I'm not sure I know how best to do it
7 -- it might be one worth thinking of for the future.

8 MR. HACKBARTH: Okay. Thank you. Just a quick
9 reaction to what Peter and Jay said. I agree that if you
10 have the incentives right, these issues are not very
11 important. However, as our work shows, getting to the point
12 where you've got the incentives right is not an easy task.
13 You can't snap your fingers. There are certainly certain
14 forms of delivery where it's easier to do, but in general,
15 we've not been able to climb that hill yet.

16 I would point out that the work here is very
17 relevant to questions I've been asked multiple times in
18 Congressional hearings about self-referral. People have
19 claimed, well, yes, we're doing more of this, but it's
20 reducing total episode cost and so don't worry about it.
21 And so what we're trying to do is address through analysis
22 questions and assertions that have been made frequently here

1 in context. So that's why the work is being done.

2 Thank you very much.

3 And the last session before lunch is on follow-on
4 biologics.

5 DR. SOKOLOVSKY: Good morning, again. Hannah and
6 I are going to present the section of the draft chapter that
7 was missing last month, "The Role of Biologics in Medicare
8 Part D," and how the payment system may affect potential
9 savings from follow-on biologics.

10 Last month, we presented some informational
11 material on the issues surrounding a regulatory path for
12 follow-on biologics. We also looked at how Medicare could
13 achieve savings from follow-ons under Part B if Congress
14 authorized a pathway.

15 Today, we're going to talk about Part D. Unlike
16 Part B, biologics still account for a relatively small
17 percentage of benefit spending under Part D; however, given
18 the drugs in the pipeline, we expect that percentage to grow
19 in the future.

20 Compared to their negotiations for other drugs,
21 plans have had a hard time negotiating lower prices for
22 high-cost biologics, particularly those high-cost products

1 that are on specialty tiers.

2 Today, we're going to talk about some of the
3 barriers to negotiations faced by plans and we'll also
4 present some of the ways in which plan risk for these
5 products is limited, and we'll begin a discussion on whether
6 the Part D payment system could be modified to increase plan
7 incentives to encourage use of follow-on biologics if the
8 Congress authorizes the regulatory pathway.

9 But before we begin, I'd like to respond to some
10 of your questions from last month.

11 Nancy, you wanted to know something about
12 international price comparisons for biologics, and we've
13 added a paragraph in the last section of the paper on this
14 issue, but these comparisons are based on commercially
15 available data, and they do not include any rebates or
16 discounts that may exist.

17 We also want to address Bruce's question about how
18 the VA pays for biologics. And to the best that we can
19 tell, the VA has not prioritized particular biologics on
20 their formulary. For pricing purposes, the VA treats
21 biologics like other drugs. As with small-molecule drugs,
22 by statute, the VA obtains substantial discounts on

1 biologics through the federal supply schedule and other
2 special discounts. However, the VA as a purchaser has very
3 significant advantages compared to Medicare: It represents
4 a small share of the total market, it's an integrated
5 delivery system where physicians generally support the
6 formulary, and there are no retail dispensing fees or
7 wholesaler costs in their prices.

8 This is not to suggest that these prices would
9 remain the same if the VA discount was extended to Medicare.
10 As many of you probably know, when the original Medicaid
11 rebate was established, Congress tried to extend VA best
12 price discounts to Medicaid and the result was that prices
13 for all purchases, including the VA, went up.

14 Now, Hannah will begin by reminding you of some of
15 the differences between biologics and small-molecule drugs.

16 MS. HANNAH MILLER: I'll start by reviewing some
17 key facts about biologics that we discussed in March.

18 Biologics are drug products derived from living
19 organisms. Unlike the drugs that most people are familiar
20 with, these products are large, complex molecules that are
21 generally injected or infused directly into the body. They
22 include products such as vaccines, insulins, and hormones,

1 as well as products engineered through biotechnology, such
2 as many treatments for cancer, anemia, and rheumatoid
3 arthritis.

4 There are several key differences between
5 biologics and small-molecule drugs. First, unlike small-
6 molecule drugs, biologics cannot be replicated exactly. In
7 other words, manufacturers cannot produce a follow-on
8 product that is identical to its reference product.

9 Furthermore, biologics are more expensive to
10 develop and to manufacture than small-molecule drugs.

11 And lastly, as we noted last month, biologics have
12 specific safety risks. Most biologics exhibit
13 immunogenicity. This means that they can stimulate an
14 unforeseen immune response in any given patient. In rare
15 cases, such reactions can be life-threatening, and problems
16 may not be detected until a product hits the market.

17 I'll take a moment here to digress briefly from
18 the subject of biologics to discuss post-marketing
19 surveillance programs which are used to monitor all
20 therapeutic products, not just biologics, once they reach
21 the market. The existing surveillance programs rely on
22 safety reports submitted by doctors, patients, and

1 manufacturers; however, in 2008, the FDA launched a new
2 initiative to develop and implement an integrated electronic
3 system for monitoring medical product safety. The new
4 system, called the Sentinel System, will utilize Medicare
5 claims data and will allow researchers to link multiple data
6 sources so they can more actively and effectively track
7 safety risks associated with therapeutic products. This
8 system is still in planning stages and details have not yet
9 been determined.

10 Returning to the subject of biologics, I will now
11 describe the biologics covered under Part D and discuss
12 spending on these products.

13 Biologics covered under Part D can be broken down
14 into two broad categories. The first group includes older,
15 simpler molecules, such as insulin and Human Growth Hormone,
16 and the second group consists of newer, more complex
17 molecules, such as epo and teriparatide. The older products
18 tend to have lower prices than those created through
19 biotechnology. An entire vial of the most expensive insulin
20 analog, for example, costs less than a single dose of many
21 newer biologic products.

22 Although there are no follow-on versions of

1 biologics, multiple branded versions of older biologics are
2 often available. For instance, there are least 11 insulin
3 brands. The presence of multiple branded insulin leads to
4 competition that results in relatively low Medicare
5 expenditures, despite the widespread use of insulin.
6 Although insulin made up more than 76 percent of Part D
7 biologic prescriptions dispensed in 2007, it accounted for
8 only about 17 percent of total spending on Part D biologics.

9 As Joan mentioned, biologics account for a
10 relatively small share of gross Part D spending. In 2007,
11 spending on biologics totaled approximately \$3.9 billion, or
12 about 6 percent of overall Part D spending. However,
13 spending on Part D biologics has increased more rapidly than
14 overall drug spending under Part D. Between 2006 and 2007,
15 spending grew by about 36 percent, whereas total Part D
16 spending grew by 22 percent. Part D spending on biologics
17 is likely to increase as more biologics which are currently
18 in develop under the market.

19 DR. SOKOLOVSKY: We see little sign of price
20 competition among the Part D covered newer biologics, even
21 in cases where there are several products available in the
22 same therapeutic class.

1 We contracted with Acumen LLC to construct a price
2 index to measure price trends in Part D since 2006. They
3 used claims data to construct a volume-weighted price index.
4 First, they compiled a market basket composed of all drugs
5 with at least 25 claims each month and the drugs have to be
6 listed on at least 60 percent of plan formularies, and this
7 led to a use of -- close to 1.7 billion claims to construct
8 the price index. The index doesn't reflect rebates but
9 does reflect transaction prices. Measured by individual
10 drug names, or NDCs, Part D drug prices rose by 7 percent
11 from January 2006 to December 2007. However, when the index
12 controlled for generic substitution, prices in the market
13 basket actually declined by 6 percent.

14 On the other hand, prices for all biologics
15 increased by 14 percent. And, of the top 20 drugs for
16 specialty tier status, 6 were biologics, and prices for
17 those increased by 16 percent.

18 So, as Hannah said, although there is some price
19 competition for older biologics like insulin where there are
20 multiple branded products available, we see little
21 competition among Part D covered, more expensive biologics,
22 and that's true even in cases where there are a number of

1 products available in the same therapeutic class.

2 There are a number of possible explanations for
3 this. First, many of these new products are in the so-
4 called protected classes, where plans must cover all or
5 substantially all products in the class. Plan
6 representatives have consistently told us that they're
7 unable to negotiate lower prices when manufacturers know
8 that they have to cover their products on the formulary.

9 If follow-ons for these products were approved,
10 plans would likely have to offer the follow-on as another
11 alternative, and this might not affect the dynamic. Another
12 issue is that plans also have limited risk for high-cost
13 biologics. A beneficiary taking one of these products will
14 hit the coverage gap within a few short months. At this
15 point, the plan bears none of the cost of continued coverage
16 until the beneficiary reaches the catastrophic limit. And
17 at that point, plan liability is limited to 15 percent of
18 all covered drug spending for the rest of the year. New
19 follow-on biologics, people expect, would be less expensive,
20 but still expensive. The difference in price may not
21 provide enough incentive to encourage plans to more tightly
22 manage these products, a process which can lead to

1 considerable administrative expense for the plans.

2 Plans may also experience selection bias if they
3 provide more generous coverage of new biologics, including
4 FOBs, and other plans don't.

5 But a key factor that could limit Medicare savings
6 from follow-on biologics is that the beneficiaries who
7 receive the , or LIS, make up a disproportionately large
8 share of the market for Part D biologics. These are
9 products that treat MS, rheumatoid arthritis, and anemia, to
10 give you some examples. In fact, LIS beneficiaries
11 accounted for the majority of prescriptions for all but one
12 of the 6 highest cost biologics.

13 In general, LIS beneficiaries are more likely to
14 have spending that reaches the Part D coverage gap, 44
15 percent for the LIS population versus 24 percent for the
16 non-LIS population in 2007, and much more likely to reach
17 the catastrophic limit, 18 percent versus 2.7 percent.

18 LIS beneficiaries have nominal cost-sharing and no
19 coverage gap. As a result, cost-sharing differences among
20 products are less likely to affect their utilization of
21 drugs. For the same reason, these beneficiaries would have
22 little incentive to ask their physicians to prescribe

1 follow-ons.

2 If LIS beneficiaries use of high-cost biologics
3 resulted in losses in a give year, plans would be likely to
4 raise their premiums the following year. Premiums could
5 rise above the low-income threshold, and these beneficiaries
6 would be reassigned to other plans still further lessening
7 plans' incentive to encourage use of follow-ons.

8 For all of these reasons, Medicare savings from
9 follow-ons might be quite limited. Policymakers might need
10 to consider changes in Part D to increase the use of follow-
11 ons and increase savings. We have some very, very
12 preliminary thoughts on how this might be done, and I'm
13 going to present them to help begin your discussion.

14 For one thing, Medicare could modify the current
15 Part D risk adjustor in a budget-neutral way to take into
16 account drug spending. In general, this would increase
17 payments for low-income beneficiaries who, remember, tend to
18 take more drugs than others. This could increase plan
19 willingness to enroll LIS beneficiaries and manage their use
20 of high-cost biologics. If the risk adjustor was based on
21 therapeutic classes of the drugs rather than the specific
22 drugs beneficiaries were taking, plans would have more

1 incentive to steer beneficiaries towards lower-cost
2 alternatives in a therapeutic class. In this case, plans
3 might create an incentive for beneficiaries to use follow-
4 ons.

5 Alternatively, Medicare could increase plan risk
6 for coverage of drugs over the catastrophic limit. For
7 example, Medicare could pay 80 percent of the lowest-cost
8 drug in a therapeutic class at the catastrophic limit. Like
9 the previous strategy, this could lead plans to design
10 incentives for use of follow-ons. Compared to the first
11 option, it would require more significant restructuring of
12 Part D.

13 Neither of these options, clearly, is ready for
14 prime-time, but Commissioners, you may want to use them as a
15 jumping-off point for discussion of how Medicare could
16 benefit from the development of follow-ons.

17 You may also want to discuss additional strategies
18 to improve the value of drugs covered by Medicare in Part B
19 or more broadly, and we would also appreciate any comments
20 you may have on the draft chapter as a whole.

21 That's it.

22 MR. HACKBARTH: Okay. Thank you.

1 DR. CHERNEW: My question is about this slide that
2 you have here. And you mentioned the idea of bundling. So,
3 my question is, in the existing bundling strategies we've
4 discussed, what has been said, I just don't recall, about
5 including prescription drugs, biologics or not, in the
6 bundle?

7 DR. SOKOLOVSKY: I don't believe that MedPAC has
8 discussed including drugs specifically, but there was a
9 recent New England Journal article by Peter Bach where he
10 proposes this as a possibility.

11 MR. HACKBARTH: It's a challenge when you've got
12 two separate insurance pots.

13 MR. GEORGE MILLER: Thank you. In part of the
14 chapter, you talked about market competition, but I don't
15 think I heard, and maybe I was wrong, any talk about the
16 FDA's approval process in this discussion. So, it seems to
17 me that is a part of it, and I'm just wondering -- if I miss
18 it, I apologize -- but what did you think about what we
19 should recommend for the FDA approval process for FOBs?

20 DR. SOKOLOVSKY: It was my understanding, based on
21 last month's discussion, that we were not going to weigh in
22 on that.

1 MR. HACKBARTH: I thought it was a bit beyond our
2 jurisdiction and area of expertise.

3 MS. HANSEN: Thank you. Just for clarifying,
4 again, right now the most rapid use for the follow-on, that
5 the biologics are the lower-income subsidy individuals. In
6 terms of the offerings for us to think about of changes,
7 could you explain again to me, to help me understand, how
8 could we still achieve the result of receiving the clinical
9 intervention of the biologic, possibly the lower-cost
10 biologic, by changing the Part D benefit, again? That was
11 one of the recommendations you had and I just didn't
12 understand it fully.

13 DR. SOKOLOVSKY: Well, again, these are first
14 thoughts that really need to be worked out more clearly, and
15 I'm not even sure if they would work if we did them.

16 But one of the thoughts was, well, if we increase
17 risk adjustment payment based on utilization of drugs, that
18 would mean that plans would get -- because low-income
19 subsidy patients use more drugs over an average than others,
20 that would mean that plans would get more to cover them, and
21 therefore, they might be more creative in developing
22 incentives to get beneficiaries to use follow-ons since

1 cost-sharing is really not an option here.

2 MS. HANSEN: Right. So, there was the concern
3 that I was hearing that -- what I hope we wouldn't do -- is
4 basically cause the low-income subsidy person to move from
5 plan to plan in that. So, what were the recommendations or
6 thoughts that you had for mitigating that factor?

7 DR. SOKOLOVSKY: Well, this was, again, if plans
8 were receiving more -- if the risk adjustors enabled plans
9 to receive more money for those beneficiaries, they might
10 have more incentive to manage their care instead of --

11 MS. HANSEN: Great. Thank you very much.

12 DR. SOKOLOVSKY: But again, this is not a
13 recommendation, or even close to being a draft for you.

14 MR. BERTKO: Okay. Joan, a couple of
15 clarifications, here.

16 The first one on what you've described as the
17 plan's incentive to manage here. Your statement is true,
18 but not necessarily accurate, in the sense that some of the
19 Part D biologics, if they came in -- and I'll just use this
20 as an example -- at \$15,000, the part of the 15 percent that
21 is above the catastrophic limit, roughly \$1,500 in this
22 example, is already more than or about the average cost for

1 a whole person. And I would suggest that there is plenty of
2 incentive today on this.

3 The tools aren't there. We had a little bit of
4 that discussion last month, but I will give you evidence of
5 saying almost all large Part D plans contract or own a
6 specialty insurer that are driven just for this. So, I'd be
7 a little careful on the wording on that phrase on
8 incentives.

9 The second is a pretty minor element, but I
10 believe, and you can confirm this, that your representation
11 of 2007 versus 2006 in spending does say something on
12 enrollment. The increases in spending are quite large, and
13 I'm assuming that is partly due to part-year in 2006 versus
14 full-year in 2007 for everybody.

15 DR. SOKOLOVSKY: Certainly, the totals are based
16 on change in enrollment, but what we were trying to
17 emphasize was, comparatively speaking, there was a much
18 larger increase in use of biologics than other drugs.

19 MR. BERTKO: Yes. Right, right. But the
20 percentages are in the aggregate spending, I'm assuming.

21 DR. SOKOLOVSKY: Yes.

22 MR. BERTKO: But you're right, it's an apples-to-

1 apples comparison.

2 And the third part here is, on your comment on
3 risk adjustment, I would like to just be careful in saying
4 that risk adjustment would benefit from using information on
5 prior-year drug use, but I would suggest, perhaps, making
6 the phrase "use" rather than spending, because spending has
7 some perverse incentives whereas the use of the drug can
8 actually have almost the same predictive value without
9 necessarily having that incentive.

10 DR. STUART: Good chapter on a very, very
11 complicated topic. Two introductory issues here. One is a
12 linguistic thing. I don't know whether it is just me, but
13 follow-on biologics just doesn't do it, and I'm not even
14 sure what that means, but then, when I get into this and
15 you're talking about interchangeability or whether they're
16 similar -- and the Europeans seem to have gotten this right;
17 they call it biosimilar. Now, I don't know if that
18 terminology is possible for us to use, but it strikes me in
19 reading this that, when you bring up the issue of
20 interchangeability, you just knock it down again and say
21 this is not going to happen. So, I'm wondering whether in
22 fact this is really a straw man that is just not going to

1 happen.

2 DR. SOKOLOVSKY: Well, that's a really good point.
3 There's a lot of disagreement. Our technical panel, for
4 one, there was disagreement among them. And I guess what I
5 was trying to say was, even if it doesn't happen now, that
6 doesn't mean that, down the road, it might not happen as the
7 science evolves.

8 DR. STUART: Well, I think, from the way you
9 describe it, it's not likely to happen soon. And so, I'd
10 recommend that, in terms of the way you address the
11 recommendations here, that they be made on the assumption
12 that interchangeability isn't on the table at this moment.

13 The second thing that I'd note, and this is not --
14 you don't say that it isn't but you don't say that it is,
15 but there is an implication in the writing that the
16 biologics don't have substitutes in terms of small-
17 molecules, and they clearly do. I mean, if you look at the
18 treatment of rheumatoid arthritis, for example, most
19 patients would go through what are called the old-line
20 disease-modifying agents that are really pretty cheap, most
21 of them, not all of them, before they get into the higher
22 priced biologics. And this may well be the reason why the

1 LIS beneficiaries are so much more expensive and such higher
2 users of these because they don't face -- it's not just that
3 they don't face cost-sharing, but there are substitutes
4 which would keep other people that face those cost-sharing
5 amounts, perhaps, from using them.

6 DR. DEAN: I think I've asked Joan this before,
7 but I'm still troubled by the slide five, which says that a
8 follow-on biologic cannot be exactly identical to its
9 reference product because of the large size and complexity
10 of the molecule.

11 Clearly, the production of these entities is
12 complex. I mean, nobody would argue with that, and yet,
13 there has to be a process for producing them that is
14 consistent and reproducible, because a company has to
15 produce various batches. And if this statement is really
16 true, then each batch is basically a new drug.

17 And so, it seems to me that -- I don't understand
18 why, if there is an established process for producing them
19 that is reproducible, why any number of manufacturers that
20 have the technical sophistication to apply that process
21 couldn't apply it. And then, the net effect is that the
22 whole application of the concept of a generic biologic would

1 seem to me to be the same as it would for a small-molecule
2 drug.

3 DR. SOKOLOVSKY: And I think you make very good
4 points and I think I'm not the person to really address
5 this. I guess it's the FDA that --

6 DR. DEAN: Well, I obviously am way out of my
7 realm, too, in even asking the question, but I just want to
8 be sure we're not being sold a bill of goods by the
9 manufacturers.

10 DR. SOKOLOVSKY: I guess it's the FDA that I was
11 quoting there, who says, in fact, that the same manufacturer
12 producing two different batches can't say that it's exactly
13 the same.

14 DR. DEAN: Then, there needs to be -- then they
15 must have some way of determining that these are close
16 enough that they can be sold as the same product. And if
17 that's the case, my argument would still apply.

18 DR. MARK MILLER: No, I mean, both of your
19 comments on interchangeability versus similar and then your
20 control of the manufacturing process versus producing a
21 follow-on collide with what is, if not the, one of the
22 central arguments of this debate right now.

1 What you see here with this presentation is we're
2 trying to walk that line and represent both sides of the
3 arguments as fairly as we possibly can. You can put any
4 given group of people in the room and they'll say
5 interchangeability is within reach and the science is
6 moving, it's moving quickly, and it will be there, I
7 understand.

8 And then, your very point -- we've talked to many
9 bio companies, and they say, from lot to lot, through the
10 manufacturing process, there is some variance. But that
11 said, all of your statements are true. Should we predicate
12 our policy on an interchangeability standard or a similar
13 standard, just your points, Bruce.

14 And on your point, there are processes that the
15 FDA uses to figure out whether something is similar enough,
16 and that's part of the debate about the patent process and
17 the follow-on process which we may not make direct
18 recommendations on.

19 But I think what you're hearing from these guys,
20 and from the staff in general, is we're trying to walk that
21 line and represent both sides of these debates. You can put
22 people in the room who will say, wait a minute, this is a

1 lot more similar than the industry characterizes it, and
2 other people who say there's a lot of variance from lot to
3 lot, and there are even risks for a given manufacturer, and
4 some of those risks have played out in some fairly
5 unpleasant ways for some manufacturers.

6 So, I think what you're hearing is an attempt to
7 walk that line, as difficult as it is. We do see your
8 point.

9 DR. SCANLON: I feel the same uncertainty that
10 Mark just expressed, and it comes through in the chapter,
11 but I think in relations to Tom's point, and tell me if this
12 is wrong, if I don't remember this -- and I certainly don't
13 understand it, but I remember reading it -- and that was
14 that we're talking about entities that end up -- some of
15 them not being patentable, and some of the process actually
16 being what is patented. And so, the issue is that if it is
17 the process that's patented so that somebody else can't
18 necessarily use the exact same process, at least during the
19 patent period.

20 So, I guess that, to me, led to this issue of how
21 do you get to something that could be considered comparable.
22 And then, the big issue where there is still uncertainty is,

1 how do you test for comparability? That's where I came away
2 from the chapter.

3 DR. DEAN: I guess I was assuming we were talking
4 about after a patent had expired that there were things.

5 MS. KANE: I just had a question about -- I think
6 the whole issue of a product that doesn't really have a
7 competitive market is a big issue for the whole -- how we
8 use competitive -- I mean, how we use the whole market-based
9 idea of generating prices. But on this one, where it
10 clearly doesn't -- and that's why I mentioned looking at
11 international pricing and seeing if there's something we can
12 do there.

13 I'm also wondering if we can talk a little bit
14 about having Part D be part of the program versus not part
15 of the program. Somebody asked a question earlier about why
16 can't this be subject to the bundling types of payment
17 constraints, and it was dismissed as, well, it's just a
18 separate -- you didn't dismiss it, but you just said that's
19 really hard because Part D is a separate plan.

20 So, my question is, are we then going to dismiss
21 the idea that bundling can be the way that incentives people
22 to try to shop for better substitutes for these types of

1 drugs or not?

2 MR. HACKBARTH: I didn't mean at all, Nancy, to be
3 dismissive. It's a critical issue in my perspective, but
4 it's a hotly debated issue, a conscious policy choice was
5 made in establishing Part D to use private insurers for this
6 particular service and not have it insured also by Medicare,
7 and there are people who want to reopen that, or who at
8 least want to for a Medicare Part D package in competition
9 with the private insurers.

10 But the point I was trying to make earlier is,
11 having made the decision to use separate insuring entities
12 has some follow-on implications, and one of them is to
13 complicate the task of bundling Part D drugs with other
14 services, except through Medicare Advantage plans that are
15 doing A, B, and D.

16 And it has some other implications, as well. You
17 alluded to one of those in your opening statement: Going to
18 a competitive model for Part D has implications in terms of
19 purchasing of single-source drugs, which are very expensive
20 and I think a growing portion of the bill. It is not as
21 well adapted to that situation as it is where there are
22 generics available and people can be moved to lower-cost

1 substitutes.

2 So, there are very big issues here. I don't mean
3 to dismiss them, but they are also very hotly debated.

4 DR. CROSSON: Well, this is a little bit connected
5 to Nancy's comment and has to do with where we should spend
6 our energy.

7 So, as I looked at the numbers in the material, it
8 looked like, at least at the time that it was measured, for
9 Part B -- and analyzing just 6 drugs, the 6 top drugs, that
10 accounted for about 7.3 billion, which was about 40 percent
11 of Part B drugs. For Part D, it was about 3.9 billion,
12 which was 6 percent of Part D drugs. So, just weighing
13 them, you might say, well, maybe we'll work on both but
14 maybe we'll work on Part B first.

15 But the question is, do you have a sense from
16 looking at this what the dynamics of this is? Is in fact
17 the pipeline weighted towards what would become Part D drugs
18 versus Part B? Is a Part B armamentarium been exhausted and
19 the direction is more towards Part D drugs?

20 DR. SOKOLOVSKY: That's a really good question and
21 I think it's hard to say. I think the incentive for the
22 manufacturers is a Part D incentive, but I think it's harder

1 with these large molecules. Infusion is one way, and that's
2 always going to be a B. And then, when we move to
3 injectable, to make it a D it has to be a self-injectable.
4 And so, that's also hard.

5 So, I think there will be more coming on on the D
6 side, but I definitely don't think it's exhausted on the B
7 side.

8 DR. CHERNEW: I was just going to say, I think
9 it's important that we separate two different topics.

10 One of them is one that I don't personally feel
11 comfortable talking about at great length, and I'm not sure
12 it's where I think MedPAC should spend a ton of time, which
13 is a whole series of issues related to approval of follow-on
14 biologics or biosimilars, or whatever you want to call them,
15 and questions about the amount of evidence that different
16 people can use. Those strike me as at least primarily FDA-
17 type questions, not that they don't have ramifications for
18 the Medicare Program, they do, but they don't strike me as
19 an area that at least I feel comfortable talking in great
20 detail. I'm sure you could educate me, but it seems like we
21 have an organization to do that.

22 The second one is conditional on having a follow-

1 on biologic, and I'm not sure I know exactly what that
2 means, but having a medication that has been approved by the
3 FDA in whatever way and having the FDA develop a system for
4 monitoring the safety and stuff, which again I view as
5 fundamentally an FDA kind of issue -- that's my view of line
6 of responsibilities. I think there are important issues
7 about reimbursement and more importantly these formulary
8 requirements and how we do different subsidies and such.
9 And so, I guess my comment in reading all of this is that
10 some of the discussion we've had seems to be a little bit
11 more distracting, although they are important issues to lay
12 the groundwork, and other parts seem really, as we move
13 forward, center of what we need to think about, which is how
14 we deal with requirements for -- the idea, for example, that
15 all drugs in a class have to be on formulary even as
16 something similar. That's an important thing when the drugs
17 are this expensive, and that seems an area that requires
18 some thought as to what that means, because I think there
19 are good reasons for that in certain cases, but that doesn't
20 mean there's always good reasons for that.

21 MR. HACKBARTH: Okay. Thank you very much. And
22 we'll have a brief public comment period.

1 So, the ground rules are, please keep your
2 comments to no more than two minutes. Begin by identifying
3 yourself and your organization. And if you see the red
4 light come back on, that means you're at the end of your
5 time.

6 MR. HEAFITZ: Hello, my name is Jonathan Heafitz.
7 I'm Director of Federal and Regulatory Affairs for the
8 Pharmaceutical Care Management Association, PCMA.

9 PCMA is a trade association representing the
10 Nation's Pharmacy Benefit Managers, PBMs, which improve
11 affordability and quality of prescription drug delivery
12 through the use of e-prescribing, increased generic
13 alternatives, access to convenient mail service pharmacy,
14 and other innovative tools for 200 plus million Americans.

15 I'd like to take this opportunity to thank MedPAC
16 for your interest in the subject of biogenerics or follow-on
17 biologics. As you've noted, Medicare spending on biologics
18 has increased rapidly in recent years, totaling more than
19 \$12 billion in spending in 2007. With national spending on
20 biologics expected to grow to \$99 billion by 2010, we
21 encourage MedPAC to weigh in with Congress, given that the
22 growth rate is unsustainable for both Medicare and private

1 payers.

2 As you've noted, without a regulatory pathway for
3 approval for generic biologics, Medicare cannot achieve
4 significant savings in this the largest growing segment of
5 prescription medication spending under both Parts B and D.

6 PCMA has long advocated for the establishment of
7 an FDA approval pathway for biogenerics. In 2007, PCMA
8 commissioned Engel and Novitt to study the savings potential
9 from the Medicare Program from enactment of a new approval
10 pathway for generic biologic medications.

11 Using CBO's projections for Medicare spending for
12 just subset of Public Health Service Act licensed biologics
13 in the top 200 Medicare Part B reimbursed categories, the
14 report concluded that, should FDA be authorized to approve
15 comparable and overtime interchangeable products, the
16 Medicare Program could save more than \$14 billion over the
17 10-year period from 2007 to 2016. We're happy to provide
18 you with a copy of this study for your reference.

19 PCMA feels strongly that a approval pathway must
20 be established that's free of administrative barriers that
21 impede the FDA's ability to approve safe and effective
22 biogenerics and that empowers the Agency to use its

1 expertise to determine on a case-by-case basis what
2 scientific data is needed to approve comparable and
3 interchangeable products.

4 We continue to work with a broad and diverse
5 coalition of employers, consumers, manufacturers, and payers
6 for meaningful biogenerics legislation that will increase
7 access while lowering cost of biologics.

8 PCMA looks forward to working with MedPAC staff in
9 serving as a resource as you move forward with this
10 endeavor. Thank you.

11 MS. TODD: My name is Laurel Todd and I'm Director
12 of Reimbursement and Economic Policy at the BIO, the
13 Biotechnology Industry Organization. I promise to be quick
14 so you can go and eat.

15 We appreciate the opportunity to speak before the
16 staff and the MedPAC Commissioners today. We also
17 appreciate MedPAC staff's willingness to meet with us and
18 thoughtfully consider our comments regarding the need to
19 balance patient safety with incentives for future medical
20 advancements and breakthroughs.

21 As you are aware, BIO strongly supports the
22 creation of a regulatory approval pathway for biosimilars.

1 Since the last MedPAC meeting in March, representatives,
2 Eschew, Insley, and Barton introduced new legislation, H.R.
3 1548, to establish an abbreviated regulatory approval
4 pathway for biosimilars that BIO supports because it
5 provides an effective, reasonable, and safe pathway for
6 biosimilars.

7 As we have articulated in the past and reiterate
8 here, due to the fact that a biosimilar product will be
9 similar but not the same as the innovator product, and
10 there are a number of complex, scientific, regulatory, and
11 safety issues that Congress is still debating as part of its
12 efforts to pass legislation that creates an approval pathway
13 for biosimilars. For these reasons, BIO believes that it is
14 most appropriate for MedPAC to consider implications for the
15 Medicare payment systems after an approval pathway has been
16 established by Congress.

17 Again, BIO looks forward to working with MedPAC
18 and appreciates the opportunity to comment today.

19 MR. HACKBARTH: Okay. Thank you.

20 We will reconvene at 1:45.

21 [Whereupon, at 12:50 p.m., the meeting was
22 recessed, to reconvene at 1:45 p.m., this same day.]

1 suggested last month.

2 The MIPPA mandate asked us to examine the accuracy
3 of CMS's calculation of county-level fee-for-service
4 expenditures. This is the information that CMS uses to
5 determine Medicare Advantage benchmarks in each county.
6 Based on our discussions with the actuaries at CMS and
7 reviewing their methodology, we find their calculation
8 methodology to be accurate for the purpose of producing fee-
9 for-service expenditure estimates.

10 However, there are a couple of issues that were
11 specifically mentioned in the MIPPA mandate that merit
12 attention. These are very technical issues that we will
13 only talk about briefly in the interest of time, but we'll
14 be happy to answer any questions you may have during the
15 discussion period.

16 One issue is the case of Puerto Rico and the
17 estimation problem that CMS faces in the Commonwealth.
18 Because over 60 percent of Puerto Rico's Medicare
19 beneficiaries are in MA plans, and because among the
20 remaining fee-for-service beneficiaries only a small portion
21 elect Part B coverage, projecting fee-for-service
22 expenditures presents a particular estimation problem in

1 Puerto Rico. Although we do not suggest a specific approach
2 for CMS to use, the estimation of fee-for-service could be
3 facilitated if MA plans in Puerto Rico provided encounter
4 data and cost data to CMS to help with the estimation
5 process.

6 The other fee-for-service estimation issue is the
7 effect of Medicare beneficiaries using Department of
8 Veterans Affairs facilities. If Medicare beneficiaries use
9 VA facilities to obtain care that could have been paid by
10 Medicare, the associated utilization and expenditure
11 information would not show up in the claims data used to
12 calculate average fee-for-service costs, nor does CMS
13 necessarily have full diagnosis information for these
14 individuals.

15 CMS has looked at VA data and is now looking at
16 data on beneficiaries who use Department of Defense
17 facilities. We believe that if CMS finds that the use of
18 military facilities has a material effect on average
19 Medicare expenditures, CMS should make an adjustment to fee-
20 for-service expenditure estimates at the county level. The
21 use of VA and DOD facilities is more likely to occur in
22 areas where those facilities are located. Therefore, this

1 is a very localized issue that needs to be looked at on a
2 county-by-county basis.

3 We would also mention, as we discussed in the
4 mailing material, that an adjustment can go in either
5 direction. That is, benchmarks can go up or down in a
6 county depending on utilization rates and risk scores of the
7 users of these facilities.

8 Another fact to consider is that beneficiaries may
9 continue to use VA and DOD facilities even if they enroll in
10 MA. In its recently-published notice of MA rates --

11 [Laughter.]

12 MR. ZARABOZO: See, I've lost my voice now.

13 [Laughter.]

14 MR. ZARABOZO: CMS found that based on the VA
15 data, county rate adjustments were not warranted for 2010.
16 However, CMS will continue to look at this issue in the
17 future.

18 A separate issue that we want to talk about in
19 connection with the fee-for-service estimates is what is
20 referred to as the ratchets, or the one-sided way in which
21 county fee-for-service expenditure estimates determine a
22 county's MA benchmark. Because of the operation of the

1 provision of the law that determines when a county's fee-
2 for-service rates become the county MA benchmark, in a
3 ratchet situation, counties have only seen their benchmarks
4 rise. Such counties do not have reductions in their
5 benchmarks even if there has been a downward trend in the
6 county's fee-for-service expenditure levels over the years.

7 The ratchet effect has been significant. As of
8 2009, over one-third of MA enrollees are in counties with
9 this effect. The dollar impact of this feature of the
10 payment system for 2009, that is the amount by which MA
11 rates exceed fee-for-service due to the ratchet effect, is
12 several billion dollars.

13 We will now turn to Scott for the next part of the
14 presentation. Scott will provide a follow-up to last
15 month's discussion of alternative approaches for setting MA
16 benchmarks.

17 DR. HARRISON: I am going to now tidy up some of
18 the discussion of the alternative benchmark setting
19 approaches from last time.

20 One way to set benchmarks would be to use the
21 plans' bids. The theoretical argument for setting
22 benchmarks through bids is that a competitive market would

1 provide the best local cost information and the plans' bids
2 are as close as we can come to the cost of an efficient
3 local provider.

4 There are many possible ways that plan bids could
5 be used to set benchmarks. Payment systems that use
6 different methods could result in very different initial
7 benchmarks and different behavioral responses from plan.
8 Important design features would include, for example, which
9 bid would set the benchmark, the lowest bid, the medium bid,
10 the 75th percentile. Would there be an upper or lower limit
11 on the benchmarks? Once the rules are set, how will plans
12 respond to the new bidding rules and what strategies will
13 they use to deal with competition?

14 Regardless of the specific bidding option chosen,
15 there is a practical problem for quantitative simulation of
16 a competitive bidding option. Plans do not currently make
17 county-level bids. They make one bid for an entire service
18 area, which usually includes multiple counties.

19 If bids determine benchmarks, plans would face
20 pressure to vary their bidding by county across a service
21 area and the current bidding data would not be a good proxy
22 for the resulting bids. We believe that they would try to

1 manage risk by bidding separately for each county. For this
2 technical reason, we do not present quantitative analysis of
3 setting benchmarks using the plan bids.

4 However, I do have a slide to let you know what
5 the current bids look like, but don't get wedded to these
6 exact numbers because they will change slightly in the
7 report. The fee-for-service spending numbers along the
8 bottom are not for counties, but are for plan-specific
9 service areas. So we have five groups of plans with
10 differing levels of fee-for-service spending in their
11 service areas.

12 The chart shows the distribution of the plan bids
13 relative to fee-for-service spending for each of the five
14 groups. The group of plans on the left have service areas
15 where fee-for-service spending averages less than \$675 per
16 month. The median bid of those plans was 1.13 times fee-
17 for-service spending, or 13 percent above fee-for-service
18 spending. We also show that the 25th percentile bid was 108
19 percent and the 75th percentile was 120 percent of fee-for-
20 service.

21 Now, as we move to the right, average fee-for-
22 service spending in plan service areas increases. The ratio

1 of bids to fee-for-service spending declines. And the
2 variation in bids relative to fee-for-service increases. At
3 the high end, when the fee-for-service spending averages
4 over \$900 per month, the median bid is 75 percent of fee-
5 for-service spending. So if we used bids to set the
6 benchmarks, we would likely have benchmarks well above fee-
7 for-service in low-spending areas and benchmarks well above
8 fee-for-service in high-spending areas.

9 Now, before we leave this slide, I want to make
10 sure that you know I am saying that the ratio of bids to
11 fee-for-service are declining, not the bids themselves. On
12 the left, the bids for areas under \$675 in fee-for-service
13 spending averages under \$700, while the group on the right
14 have average bids approaching \$900. So the bids themselves,
15 which are not displayed on the slide, do increase as fee-
16 for-service spending increases.

17 Remember last time we examined four different
18 administrative benchmark setting options. This slide
19 summarizes the simulation of those options. The first
20 option would set each payment area's benchmark equal to 100
21 percent of local fee-for-service spending. The second
22 option is a hybrid, with a floor of \$618, a ceiling of \$926,

1 and is equal to local fee-for-service in between the floor
2 and the ceiling. The third option is a 75/25 local/national
3 blend that was designed to approximate plan costs. And the
4 last option is an input price-adjusted blend that was also
5 designed to approximate plan costs while removing variation
6 in the benchmarks resulting from variation in the local
7 volume of services in fee-for-service Medicare.

8 All these options are financially neutral to fee-
9 for-service Medicare, meaning in the first year, they are
10 equivalent to the option that CBO has scored as saving about
11 \$150 billion over ten years. But CBO only scored the 100
12 percent local fee-for-service option, and although all of
13 these options do start out financially neutral, plan bidding
14 behavior and beneficiary enrollment choices could result in
15 differences between these options over the long run.
16 However, for now, we can only simulate results based on
17 current bidding behavior.

18 Now, in the first two columns here, you see the
19 range of benchmarks that would be produced by the different
20 options. The largest range would be for the 100 percent
21 local fee-for-service option, where the county benchmarks
22 would range from \$453 to \$1,285 per month. The input price-

1 adjusted blend has the narrowest range, along with the
2 hybrid.

3 In the next two columns, we look at the range of
4 benchmarks relative to local fee-for-service spending.
5 Under the local fee-for-service option, each county, by
6 definition, would have its benchmark equal to local fee-for-
7 service. By contrast, the price-adjusted blend would have
8 benchmarks in some counties either well above or well below
9 local fee-for-service spending. One county would have a
10 benchmark set at 54 percent of its fee-for-service spending
11 and another county would have a benchmark set at 156 percent
12 of local fee-for-service spending.

13 Moving over a column, the price-adjusted blend
14 resulted in the highest availability in our simulations,
15 probably because it did the best job of recognizing plan
16 costs. And finally, the local fee-for-service option
17 allowed for the highest average level of extra benefits
18 because it would maintain the benchmarks in the high fee-
19 for-service counties, which also tend to have plans
20 providing the highest levels of extra benefits.

21 On this slide, the first column represents the
22 simulation availability results from last time. Some

1 Commissioners were interested in seeing these results using
2 slightly different assumptions or metrics and I have
3 included those tables in your mailing materials and I will
4 briefly summarize them here.

5 Remember, the simulations measure plan
6 availability by whether the current plan bids are above or
7 below the simulated new benchmarks. We assume that plans
8 that bid below the simulated benchmarks would continue to do
9 so and therefore be available, although the extra benefits
10 they offer would probably be reduced. This is a
11 conservative assumption in that plans might bid lower than
12 they currently do in order to attract or retain market
13 share.

14 Nancy asked us to examine the likely effects of
15 benchmark changes by simulating plan availability for
16 current MA enrollees. Although plans may be available in
17 all areas, enrollment penetration varies, and if plans left
18 low-penetration areas, proportionately fewer MA enrollees
19 than Medicare beneficiaries would be affected, and indeed,
20 we find that plan availability would be higher under all
21 options if it were measured for current MA enrollees rather
22 than for all Medicare beneficiaries. For example,

1 availability would reach 98 percent of all MA enrollees
2 under the price-adjusted blend compared with 94 percent of
3 all beneficiaries.

4 And Glenn in particular was interested in seeing
5 how our larger payment areas might affect availability, so
6 we simulated overall plan availability using the MSA HSA
7 definition of payment areas. We assumed that if a plan
8 served more than 50 percent of the Medicare beneficiaries in
9 the area, the plan would serve the entire payment area.
10 Otherwise, they would not serve any of that payment area.
11 The findings show the same patterns as the simulations using
12 county-level payment areas, but the availability numbers are
13 all a point or two lower.

14 And John noted that our simulations assumed the
15 2009 bidding rules, but MIPPA requires that private fee-for-
16 service plans have provider networks where two other network
17 plans are available starting in 2011. CMS recently
18 published a list of counties where private fee-for-service
19 plans would need a network in 2011. To address this
20 impending change, we simulated plan availability assuming
21 that private fee-for-service plans would not be available in
22 the listed counties. Under this assumption, plan

1 availability would drop under the base case and all options
2 when the 2011 private fee-for-service rules are included.
3 The general pattern among the options remains the same as
4 under the 2009 rules except that the two blends are more
5 comparable. Both blends are simulated to result in plans
6 being available to 85 percent of beneficiaries.

7 And now I am going to turn it over to David to
8 deal with the remaining topics.

9 MR. GLASS: Thank you, Scott.

10 Last month, there was a concern that extra
11 benefits were likely to differ across geographic areas in
12 many of the options. So this month, we introduce a
13 modification that will help balance extra benefits across
14 geographic areas.

15 First, we must recognize that the use of services
16 in Medicare fee-for-service is high in some areas and low in
17 others. On the one hand, in some low-use areas, fee-for-
18 service may be a relatively efficient plan. On the other
19 hand, high-use areas offer more opportunities for MA plans
20 to manage volume. Plans could be selective in their network
21 of providers or otherwise manage care.

22 Under current policy, Medicare retains 25 percent

1 of the difference between the benchmark and the bids in all
2 areas. The remaining 75 percent is called the rebate and
3 funds extra benefits. In this modification, Medicare could
4 differentiate its share of the difference between benchmarks
5 and bids, retaining more in high-use areas and less in low-
6 use areas.

7 For example, let's look at a high-use area that's
8 in the first column there and the low-use area, which is in
9 the second column. The top section is the situation under
10 current policy, and the numbers here are just illustrative.
11 They are not pushing a particular policy decision. In the
12 example, looking at column one, bids are 70 percent of the
13 benchmark in the high-use area. The difference between the
14 bid and the benchmark is thus 30 percent, and extra
15 benefits, which are 75 percent of the difference, it ends up
16 being 22.5 percent. So in this line, Medicare is retaining
17 25 percent of the difference. In the lower-use area, the
18 bid is 90 percent and the extra benefits turn out to be 7.5
19 percent. So those are much less than in the high-use area.

20 Under the new policy, Medicare retains 60 percent
21 of the difference in the high-use area and the extra
22 benefits become 12 percent. In the low-use area, Medicare

1 retains none of the difference and the extra benefits are 10
2 percent. The difference is now much less between the two
3 areas and extra benefits would be more balanced.

4 In both cases, there would be a substantial amount
5 of extra benefits to attract beneficiaries to the plans, and
6 Medicare could set the sharing function each year to
7 preserve budget neutrality.

8 DR. MARK MILLER: Can I just say one thing here
9 quickly for the Commission and for the public. This report
10 is a series of ideas. The Hill has asked us to give them
11 different ideas, and I just want to be clear. This is a
12 different way to kind of go at it instead of through the
13 benchmarks, a different way to kind of equalize benefits
14 through what the government retains. We are not proposing
15 this as a change, just another idea to put in the report.

16 MR. HACKBARTH: Just on that point, so you see
17 this as an alternative to the benchmarks, changing the
18 benchmarks, or in addition to --

19 MR. GLASS: No. This would be in addition to
20 setting the benchmarks, and it would work under any of the
21 options. If you think about the 100 percent of fee-for-
22 service option, you could see how this would kind of

1 balance. In the 100 percent fee-for-service options in very
2 high-use areas, fee-for-service is quite high and what this
3 would do would be the calculation of the extra benefits
4 would be changed. So you'd use the benchmark, the 100
5 percent fee-for-service to set the benchmark, but then when
6 you came to how much of that difference goes -- remains with
7 the plans and how much --

8 MR. HACKBARTH: Let me restate my question. You
9 began by saying that this was an approach to address
10 perceived regional inequity in additional benefits. Some of
11 the alternatives that we're looking at for benchmarks,
12 changing the benchmarks, are also aimed at addressing that
13 same issue. So one approach would be to adopt 100 percent
14 of fee-for-service, local fee-for-service, as a way of
15 setting the benchmarks and then use this tool to address
16 perceived regional inequity --

17 MR. GLASS: Right.

18 MR. HACKBARTH: -- as opposed to combining this
19 approach with benchmark setting policy also designed to
20 address regional inequity. I wasn't sure which way you saw
21 it being used.

22 MR. GLASS: Yes. I mean, this most naturally

1 would go with the 100 percent fee-for-service option.

2 MR. HACKBARTH: Yes.

3 MR. GLASS: I think that's the most -- that's the
4 clearest example.

5 So last month, you discussed the transition
6 strategy, and briefly, whenever we've recommended setting
7 benchmarks at the 100 percent of fee-for-service, we've
8 acknowledged that there should be a transition to the new
9 benchmarks to limit disruption to beneficiaries. So under a
10 transition, the new benchmark could be phased in over
11 several years.

12 Because the Commission is especially concerned
13 about retaining high-quality plans, a key point of the
14 transition should be to limit the loss of any high-quality
15 plans. During the transition, extra payments could be made
16 to plans that have demonstrated good performance on quality
17 indicators. As benchmarks are lowered to attain financial
18 neutrality, high-quality plans' payments would not decrease
19 as fast and low-quality plans would either improve or likely
20 exit the program as their payments decreased.

21 Of course, the transition would lower savings for
22 a few years. CBO's estimated ten-year savings are

1 predicated on full implementation of the 100 percent
2 benchmarks in 2011. If full implementation were delayed,
3 savings during the transition would be somewhat lower.

4 You also asked us last month to discuss the goals
5 of the program in the report, so I will summarize that
6 discussion here. The original goals of the program were to
7 import care coordination and other innovations into Medicare
8 through private plans. Plans could do things that fee-for-
9 service Medicare could not, such as limit their networks and
10 manage care. Payments were set to 95 percent of fee-for-
11 service so Medicare would save money. Over time, as people
12 became concerned over some areas having private plans and
13 more extra benefits than other areas, the goals shifted, and
14 they shifted to private plans in all areas, including areas
15 where private plans had not been financially viable, and
16 extra benefits through private plans to all beneficiaries.

17 The result is the MA program of today and our
18 familiar litany of concerns. The Commission is concerned
19 that payments under the current MA payment system were too
20 high. They are well above the cost of caring for similar
21 beneficiaries in Medicare fee-for-service. Medicare is
22 subsidizing the participation of inefficient plans that are

1 not designed to coordinate care and improve quality and may
2 just mimic fee-for-service Medicare at a higher cost. These
3 extra costs mean all beneficiaries, whether or not they
4 enroll in MA, pay higher premiums. Higher costs also
5 increase the burden on taxpayers and are expected to make
6 the Trust Fund insolvent 18 months earlier. Even though
7 some beneficiaries get extra benefits from MA plans,
8 Medicare is heavily subsidizing those extra benefits, as
9 much as \$3.26 for each dollar of extra benefits in private
10 fee-for-service plans. Finally, despite high payments,
11 high-quality plans are available to only 50 percent of
12 beneficiaries and only 31 percent in rural areas.

13 To wrap up, we're on schedule to report to the
14 Congress in June. This is our final presentation on this
15 report. I want to invite your comments and discussion.
16 Does the proposed modification to balance extra benefits
17 make sense to you? Are there other approaches you would
18 like us to consider, such as differentiating Part B premiums
19 or taking bidding more into account in some areas than
20 others? Do you have any additional feedback on the
21 transition policy? Did our discussion of goals for the
22 program reflect your views? And are there any other issues

1 of concern that you want us to address?

2 MR. HACKBARTH: Thank you. Could I ask a
3 clarifying question? Could you explain to me how we arrive
4 at the 75/25 national/local?

5 DR. HARRISON: You mean why we picked the 75/25?

6 MR. HACKBARTH: Yes, right. I've read through
7 that passage a couple of times and I couldn't quite get it.

8 DR. HARRISON: We ran our regression to see how
9 the bids varied with fee-for-service costs and we got that
10 plan bids rose, on average, 75 cents for every dollar rise
11 in local fee-for-service costs.

12 MR. HACKBARTH: Okay.

13 DR. HARRISON: So in a sense, you're adding -- the
14 national part is really just a constant. You're adding a
15 block of dollars and then -- at the beginning, and then 75
16 percent.

17 MR. HACKBARTH: I'll think about that some more.

18 Let me see hands for round one clarifying
19 questions. I have Nancy and then Mike and John.

20 DR. KANE: I have two questions. One is on Slide
21 9. Just explain to me sort of the timing of the way this
22 would work, because my understanding is that a lot of the

1 decision making on the part of the beneficiary relates to
2 how those extra benefits appear to them, and so how would
3 this sort of play itself out in the beneficiaries choosing
4 plans? How often would those -- how would those extra
5 benefits change annually, I guess, and even in the
6 transition? Is it like watching a revolving door, where you
7 are getting a lot of churning, or would it just -- I'm just
8 trying to understand the implications for how plans would
9 market themselves to beneficiaries with that going on in the
10 background.

11 MR. GLASS: Well, this is related to the use of
12 Medicare services in each of the areas, so you could
13 probably -- I don't think that changes that dramatically
14 year to year. So if you looked at a high-use area, it would
15 probably be a high-use area the next year. So you can set
16 this in advance, in other words, and the plans would know
17 this when they bid, and therefore the beneficiaries would
18 see the benefit package they would get at the time of open
19 season.

20 DR. MARK MILLER: The plan would bid knowing that
21 in their area, that the government is going to treat how
22 much they take back on the basis of some calculation like

1 this.

2 DR. KANE: So there would be a big change in the
3 transition years, but then it would sort of annually sort of
4 be the same, not change a lot once --

5 MR. GLASS: Yes. Yes. The high-use areas tend to
6 stay high-use areas, yes.

7 DR. KANE: And then my second question, Slide 11,
8 one of the results you mention is that the Part B premium
9 is, I don't know, \$3 a month higher. What would be the
10 implication of having the Part B premium higher only for MA
11 beneficiaries and taking back the subsidy from all the non-
12 MA beneficiaries as another way just to address this fact
13 that it's not fair to make everybody pay for a subset of the
14 population's choices and extra benefits?

15 MR. GLASS: I'm not sure what the implications
16 would be. I mean, you --

17 DR. REISCHAUER: How much would it be?

18 MR. GLASS: You mean the \$3?

19 DR. REISCHAUER: What?

20 MR. GLASS: Would the \$3 be different?

21 DR. KANE: If you said, okay, I'm in traditional
22 Medicare. I'm taking your \$3 away --

1 DR. REISCHAUER: No, it's \$3 for everybody, but, I
2 mean, you're just going to put it all on the --

3 DR. KANE: The MA people.

4 DR. REISCHAUER: -- twenty-X percent that are --

5 DR. HARRISON: Are you looking for like \$350
6 million a year? Is that what you're looking for?

7 MR. HACKBARTH: Roughly, what, 45 million, and
8 roughly 20 million are in MA --

9 MR. GLASS: Twenty-two percent, yes. About --

10 MR. HACKBARTH: Twenty-two percent, excuse me,
11 right. Yes. And so -- yes.

12 MR. GLASS: I have always been told not to do math
13 in public, so --

14 [Laughter.]

15 DR. KANE: The \$15 a month for the MA person as
16 opposed to the \$3 a month.

17 MR. GLASS: But the MA plans are allowed to reduce
18 the --

19 DR. KANE: Well, they have to use their benefits -
20 - okay. They have to use their rebates for that.

21 DR. REISCHAUER: They have less of something else.

22 MR. GLASS: Yes, so they --

1 DR. KANE: Should we be also considering that as a
2 less-disruptive way to level out some of the inequities of
3 the current way it works? Just a thought.

4 MR. GLASS: That's an idea.

5 MR. HACKBARTH: Yes. At the last meeting, I had
6 suggested the idea of, you know, if we want to benefit
7 Medicare beneficiaries in low-use areas and we want to give
8 them additional benefits in as low-cost way as possible, the
9 lowest-cost way to do that is to reduce their Part B
10 premium, and so what you are suggesting is sort of a cousin
11 of that idea. It's not exactly the same thing.

12 DR. MARK MILLER: She raises the premium for the
13 MA beneficiaries, and you were saying raise and lower in the
14 high- and low-utilization --

15 MR. HACKBARTH: And so I would be saying reduce it
16 for traditional Medicare beneficiaries in low-use areas and
17 Nancy is saying increase the premium for --

18 MR. GLASS: But the other thing, Nancy, is if you
19 reset the benchmarks to 100 percent of fee-for-service --

20 DR. KANE: That's another -

21 MR. GLASS: -- then you don't need to do that, I
22 don't think.

1 DR. KANE: This is a separate policy option, I
2 meant.

3 MR. GLASS: Oh, I see. Okay.

4 DR. KANE: I think it's --

5 MR. GLASS: Given that you don't reduce the other
6 part --

7 DR. KANE: If we have -- or in the transition
8 process of all the -- I am sure there is a little political
9 opposition to reducing the MA to 100 percent, although I
10 know it's been spent five times in the way we're going to
11 finance health reform, but what would be the option instead
12 of just making it, okay, you're in MA but your Part B
13 premium has to reflect the cost of that program, and
14 changing it to reflect that. And that would be the trade-
15 off, I guess. People could sort of think about which
16 political option would be better.

17 MR. GLASS: It would also be a little less
18 targeted because everyone in MA, whether they were getting a
19 tremendous amount of extra benefits or very little extra
20 benefits, would be paying for it rather than -

21 DR. KANE: Even modify that, too.

22 MR. HACKBARTH: I have Mike and then John.

1 DR. CHERNEW: So if I understand how you did the
2 simulations behind all of the charts, you basically looked
3 at current bids, assumed that there was essentially no
4 behavior change one way or another, and if the bid was under
5 or over the revised benchmark according to the formula, you
6 assumed the plan was in or out, and you didn't discuss a lot
7 what would happen. I think the line in here is something,
8 they would probably change their benefits accordingly. But
9 I think what you assumed literally is the bid stays the
10 same, so by definition the extra benefits have to change.
11 If the benchmark goes down, there is less coming back. So
12 my first question is, is that the right characterization?

13 My second question, which right now I just want
14 sort of the yes/no question, is have you looked at how those
15 assumptions in the simulation match up with some of the
16 other literature on how plan behavior has changed when
17 benchmarks have changed. So there has been some literature
18 that has used changes in benchmarks. Kaiser has some paper
19 and stuff that has tried to say what's happened when we've
20 done this. And I'm just not sure -

21 DR. HARRISON: No.

22 DR. MARK MILLER: The other part of that answer --

1 I know it was just yes/no --

2 [Laughter.]

3 DR. MARK MILLER: So you did respond, but he
4 didn't say it to me, yes/no. One thing you should know is
5 that Carlos has written extensively on what happened last
6 time we got changes and how plans moved around. So if for
7 part of your discussion later or elsewhere, Carlos can tell
8 you in some detail what kinds of things happened the last
9 time there was some shifting among the plans.

10 MR. BERTKO: Just a quick question here to confirm
11 what I think you've been saying. Focusing strictly on the
12 MSA/HSA payment region type of things, I think you can
13 probably combine that with any of the four -- actually five
14 payment change versions that you put and, one, I'm a big fan
15 of that because I think it smooths out what are currently
16 some very funny irregularities in places. Is that a true
17 statement?

18 MR. HACKBARTH: Any other clarifying questions?

19 Let me see hands for round two questions,
20 comments.

21 DR. DEAN: I just have a question about how this
22 all came about, this whole extra benefits concept came about

1 in the first place, because it seems to me that it really
2 confuses the whole issue, that if -- wouldn't it be simpler,
3 and I obviously don't understand how this all evolved, but
4 just to have a fixed benefit package and let the plans bid
5 on that, because we're trying to get -- that's where the
6 problem has originated, because we didn't have a standard
7 set of benefits and so we started adding in things and then
8 people got upset because somebody in one place was getting
9 more than somebody else and the subsidies got all confusing
10 and so forth.

11 It seems to me we have made it -- it's almost --
12 there's sort of an analogy with private insurance where you
13 can't compare one policy with another because none of them
14 are comparable. They all have a different set of benefits.

15 MR. GLASS: Well, that's the approach CPAC took,
16 and Bob could speak to why. But that's exactly the approach
17 they took. They defined the set of benefits in an area.

18 DR. REISCHAUER: I mean, but in a sense, the MA
19 plans are bidding against A/B for a standard beneficiary, so
20 in effect, they are, but they're then influenced -- how much
21 they get paid is influenced by the existence of these
22 benchmarks.

1 DR. DEAN: It seems to me that we've sort of taken
2 away the incentives for the plans to really manage the care
3 because they don't really get any of the benefit if they do,
4 because the lower their bid, it just has to go for extra
5 benefits. Now, they may get more enrollees, I suppose --

6 MR. HACKBARTH: They get more enrollees and they
7 get more profit through that mechanism. So they do have an
8 incentive to bid low. So the difference -- there are a
9 couple of differences. One is that, as Bob says, they are
10 bidding on the A/B benefit package, so it is a fixed benefit
11 package. But they are bidding against benchmarks that are
12 administratively set. They're not driven by the competitive
13 prices.

14 And then there is basically the requirement that
15 they give back the difference in the form of added benefits
16 and reduced premiums and the like as opposed to just -- or
17 provider reimbursement as opposed to just cash discounts to
18 the beneficiaries. What is that?

19 DR. CHERNEW: By lowering the Part B premium.

20 MR. HACKBARTH: Yes. But you could say, in
21 addition to that, I'm going to give you a check. That's not
22 one of the options on the table.

1 MR. ZARABOZO: But part of the reason for the
2 extra benefits historically was, you know, in 1982 when they
3 were trying to figure out how much is the appropriate
4 payment for plans, that's when the 95 percent of fee-for-
5 service, there was still -- people knew at that time that
6 plans were getting better selection based on history of
7 group practices and what kind of Medicare beneficiaries they
8 were getting. So the question was, how much do we pay these
9 plans? Ninety-five percent gets us around the risk
10 adjustment issue since we don't know how to do risk
11 adjustment.

12 One of the options at the time was for the plans
13 to accept essentially what they bid, to use the modern
14 parlance, and return the rest to the government. Another
15 option was to provide extra benefits, and one of the reasons
16 they wanted to provide extra benefits is because at that
17 time, preventive care, for example, was not covered by
18 Medicare. So it was viewed as a reasonable thing to have
19 plans provide things like preventive care, which would be a
20 non-Medicare-covered benefit, using dollars from the
21 government to do so. So there's a little bit of a long
22 history related to extra benefits, but how it's turned out

1 today is a little bit different probably from what the
2 original view might have been.

3 DR. STUART: This will be quick. Did we learn
4 anything about Puerto Rico's experience other than what
5 happens in Puerto Rico? And the reason I say that is that
6 here's a case where you have a very high penetration of
7 managed care. You have a very low utilization of, or uptake
8 in Part B, which is obviously unique to Puerto Rico. But it
9 does provide an opportunity to examine what happens in terms
10 of selection into plans at one extreme.

11 And I guess the question is -- well, one of the
12 questions I had in reading this -- this is really quite
13 fascinating to anybody who's ever gone to Puerto Rico, I
14 guess. But the reason for the low uptake in Part B is
15 presumably because the prices of medical services are so
16 cheap relative to the national standard Part B premium?

17 MR. ZARABOZO: And relative to the income in
18 Puerto Rico. Very low income in Puerto Rico, yes. And
19 there's a lot of issues involved in how people get into the
20 MA plans. There's the dual eligibles, that Puerto Rico is
21 filling in the Part D, the Medicaid Part D coverage through
22 the MA plans in many cases, through agreements with the MA

1 plans. So there are many factors involved in the situation
2 in Puerto Rico.

3 DR. STUART: So the answer is we don't learn
4 anything about it --

5 MR. ZARABOZO: So it sounds like it's specific to
6 Puerto Rico.

7 MR. HACKBARTH: Puerto Rico is unique, right?

8 Let's see, I have Nancy and then Jay.

9 DR. KANE: Well, I guess I'm just sort of getting
10 at the issue of what goals should we have here at this
11 point, and they've obviously shifted to something I don't
12 really -- I think even that, the goals that they've shifted
13 to, I think people are willing to say that's not the
14 greatest set of goals anymore because we can't necessarily
15 afford them.

16 But I'm wondering what our goals should be going
17 forward. To me, one of them should be the MA beneficiaries
18 should bear their fair share of MA costs, that it shouldn't
19 be somehow subsidized by the rest of the program. I mean,
20 that was part of that Part B question, too. If you're going
21 to have this kind of option, you shouldn't say the whole
22 program should subsidize it. It should be an equitable

1 distribution of who's benefitting, especially from the extra
2 benefits.

3 And I would think we'd want to choose a mechanism
4 that minimizes the disruption to existing enrollees, not
5 just -- so one of the goals was everybody should have a
6 choice of these plans, but in the five, six years that
7 they've had this, we've had it, we've got about 20 percent
8 of people in them now and it's that 20 percent I would
9 rather protect than worry about whether the 80 percent have
10 access to a plan that they're not going to choose, in
11 looking at the different options. So there are some that
12 seem less disruptive to existing enrollees than others. So
13 I just --

14 DR. REISCHAUER: So you're thinking about what the
15 third box should be on the table, which is --

16 DR. KANE: Yes, off to the right. Yes. What
17 should --

18 DR. REISCHAUER: -- not what was, what is, but
19 what should be?

20 DR. KANE: What should be, yes. Yes. And see if
21 we can articulate that, because then I think it'll guide us.
22 I mean, this was fascinating to read, but it was also mind-

1 boggling. I'm just trying to think, how do you make this
2 simple and say where you're heading and where are you going
3 with it and what do we want to achieve here, and I'm just
4 trying to put some of those principles on the table and
5 welcome other people's principles.

6 MR. GEORGE MILLER: Glenn, could I ask a question
7 just on Nancy's point? Is your proposal that the \$3 extra
8 would cover all the costs that all of us are subsidizing?
9 Would that mathematically substitute, or would that just be
10 -- I mean, do we not still subsidize?

11 DR. MARK MILLER: And I was --

12 DR. KANE: Taxpayers are also --

13 DR. MARK MILLER: Yes, because remember, the Part
14 B premium is set to cover 25 percent of the cost.

15 DR. KANE: Right.

16 DR. MARK MILLER: So even if you said your premium
17 has to reflect the total amount of the beneficiary's piece
18 of that in MA, you still would have a government -

19 DR. KANE: The taxpayer.

20 DR. MARK MILLER: -- in a very significant --

21 DR. KANE: And I don't know how you equalize that
22 part, but it seems like we're paying an awful lot to have

1 this choice that costs us an awful lot, and the most
2 inequitable piece is that the beneficiary is affected by it.
3 So at a minimum, that should be a goal, is to eliminate the
4 fact that the beneficiaries who don't have this plan are
5 paying more for it. I don't know how to deal with the
6 taxpayer part.

7 DR. CHERNEW: But there's a Miami-Minnesota issue
8 in traditional Medicare, as well. There's a lot of these
9 subsidies flying around. So to get to making the
10 contribution equitable is a lot more than just --

11 DR. KANE: Well, that's why I sort of stopped with
12 just the Part B premium as opposed to going on into all the
13 other subsidies.

14 DR. CHERNEW: That's also a Part B premium issue,
15 right, the fact --

16 DR. KANE: It belongs all over the country, so I
17 think you're not changing -- people belong to MA all over
18 the country, so you still have the Miami to Florida subsidy.

19 DR. CHERNEW: But that's for Part A. The Part B
20 premiums are probably higher than they would be because
21 they're spending so much money in Miami. I think that's
22 right.

1 DR. KANE: That's true, but I'm not trying to deal
2 with that inequity. I'm just saying the fact that you're MA
3 or not MA should be a goal. Now I agree, we should
4 certainly try to fix those others, but I don't have a plan
5 for that.

6 DR. REISCHAUER: In one sense, this is less
7 inequitable, inequity than some of them because the people
8 in Minnesota have the choice of getting on the gravy train
9 or not, and with traditional, they don't. I mean, the
10 Miami-Minnesota one, they don't have the choice of getting
11 their services in Miami.

12 MR. HACKBARTH: Could you restate your second
13 goal?

14 DR. KANE: Oh, yes. So this was something I
15 brought up last time, and you addressed it a little bit.
16 Who's disrupted when you start to go to 100 percent fee-for-
17 service under the different models, and just to me, it would
18 be best -- my top priority would be to minimize disruption
19 to those who are already enrolled rather than guaranteeing
20 choice to all beneficiaries. So all beneficiaries. And
21 some -- I think you'd probably come out with the same model
22 either way, but it was a little -- it's a lot to understand

1 here, but you've presented this as let's make sure we have
2 guaranteed the maximum number of plan choices geographically
3 and I'm kind of going, why don't we just make sure that the
4 current enrollees -- that we protect them first and protect
5 choice second.

6 DR. HARRISON: So that would be the second column.

7 DR. KANE: Yes. The current MA enrollee be the
8 criteria by which we decide which of these options might be
9 the most easily -- the least disruptive.

10 DR. REISCHAUER: But then you want to raise their
11 premiums --

12 DR. KANE: Yes. I still want to raise their
13 premiums.

14 DR. REISCHAUER: Nancy speaks with a forked
15 tongue.

16 DR. KANE: I don't want to take away their choice.

17 DR. CROSSON: Yes. I wanted to focus just for a
18 second on the page and a half in the text on the transition
19 considerations and see if I understand it. We've talked
20 about this before, but it looks to me like there are sort of
21 two parts to this and two phases that you describe, and I
22 want to make sure I understand it right because it looks

1 like it implies two different quality performance comparison
2 processes.

3 So the first one would be during the transition,
4 and then there would be some quality performance comparison
5 made among plans. For example, earlier in the chapter, it
6 describes the star rating system as a way to do that. And
7 then at the end, it talks about after the transition, a
8 second, you know, quality-based set of considerations that
9 would impact payment. But in the second one, it talks about
10 comparing presumably high-quality MA plans to fee-for-
11 service. I just want to make sure that is -- because that
12 then --

13 MR. GLASS: That's correct. Yes --

14 DR. CROSSON: That then is going to, I think, be
15 an issue, or it's going to come up for discussion, or it
16 will be impacted by the discussion we have in the next
17 session, which has to do with the complexity of measuring
18 Medicare Advantage versus fee-for-service. So that is the
19 intention, is to have --

20 MR. GLASS: That's correct.

21 DR. CROSSON: -- one process during the
22 transition, another set of recommendations after the

1 transition, and the second set of considerations would be
2 Medicare Advantage, presumably high-quality Medicare
3 Advantage plans versus a measurement process in fee-for-
4 service.

5 MR. HACKBARTH: And the reason for the bifurcated
6 approach is just the necessity. One, it has to happen in
7 the short term before we have the comparison to the ambient
8 level of fee-for-service quality, before that technology is
9 in place. And so in the short run, all she can do is use
10 cross-plan comparisons.

11 Ron, earlier today, you raised the issue related
12 to this --

13 DR. CASTELLANOS: It really is the same issue, but
14 I think I'm putting the cart before the horse. We have to
15 have equal comparison before we can make any determination.

16 What I was concerned about is your last sentence
17 there, that after the transition, if the MA plan provides
18 better quality than the fee-for-service plan, they would be
19 paid more than the fee-for-service plan, and I was concerned
20 about we always talked about equity, equal equity.

21 MR. HACKBARTH: And so this is a question as
22 opposed to a definitive statement, but the question I always

1 ask myself is why would you ever pay more than it costs
2 traditional Medicare to provide the same services, given our
3 budget problems and sort of multiplying and not getting
4 smaller? In the one answer that I can think of that is a
5 plausible one is if the plan provides demonstrably better
6 quality than would exist in the community otherwise, you
7 might say, okay. We are willing to pay you for that. And
8 so that is the idea there, but it's a suggestion for
9 consideration as opposed to a definitive statement of that's
10 what the Commission is proposing.

11 DR. CASTELLANOS: I'm okay with that.

12 DR. SCANLON: Related to that point, though, that
13 may be sort of a situation that never arises, because if we
14 think that there's so much inefficiency and waste in
15 Medicare that this good-performing plan may also be able to
16 generate significant efficiencies and therefore some of the
17 reward is allowing them to keep the efficiencies, we don't
18 have to pay beyond what the inefficient fee-for-service
19 system cost. I mean, you could have the pay-for-performance
20 reward, but you also can sort of capture some of the savings
21 from better efficiencies --

22 MR. HACKBARTH: I see what you're saying, but sort

1 of the case that I was thinking of is what about Oregon or
2 one of those places where it's challenging for a private
3 plan to get much below traditional Medicare costs, because
4 traditional Medicare costs not only have low unit prices,
5 but also low utilization rates in those places, and low
6 administrative costs, et cetera, et cetera. And so I'm
7 thinking very parochially.

8 In Oregon, why would you ever pay a private plan
9 more than that, and the only plausible reason I could think
10 of is if they can say, look, I improved the care in this
11 community above the level that exists in fee-for-service.
12 I'm not getting rewards by being able to undercut on price.
13 So the only reward for me would be a bonus for improving
14 quality. That's the case that I'm thinking about. But
15 again, it's just a proposal for consideration as opposed to
16 something that we've endorsed.

17 Let's go through the list. I've got John, Jennie,
18 Mike. John?

19 MR. BERTKO: Let me, if I can take my time this
20 time, I'm going to respond to what I think are a good set of
21 alternatives but also try to focus Commissioners' attention
22 on maybe a couple of them with some points along the way

1 there. And so, again, this is my opinion on what could work
2 best.

3 Scott, if you could put up, I think it's Slide 8,
4 which is the one that lists the different variations. No,
5 it's 6. Sorry. Okay. Looking at these, we are -- I mean,
6 Medicare needs to save some money. I just accept that.
7 We're on record for that. The question is how to get from
8 here to there.

9 My own feeling is we ought to choose a rational
10 way to get there wherever possible, and on this I'd like to
11 take two of these and put them on the table and tell you why
12 the other two fall off the table and then comment on one in
13 particular which can solve other things.

14 I think competitive bidding is the most rational
15 way to recognize market differences in an area. Like Glenn
16 said, Portland has got some issues that I've been aware of
17 as well as a couple of other localities in the country. And
18 so it's an automatic way to do it. It's got the automatic
19 advantage of places where there's a lot of fraud and abuse,
20 of getting down to a right number quicker than perhaps under
21 any administrative scenario.

22 I will also say that we probably want to consider

1 an administrative way to set rates, as well, and I'd suggest
2 that the blend is the best of the three that are here, or
3 the alternative -- I'm sorry, it's the 75/25 blend, I've
4 used that word on that -- because it recognizes the demand
5 side that is a fact of life in a number of markets and
6 doesn't arbitrarily cut it.

7 The hybrid version, which is the one with the
8 floors and the ceilings, if I'm remembering right, and I'm
9 hoping Carlos or Scott nod, strikes me as being susceptible
10 to tinkering, and so I throw that one out right away.

11 And then the input price-adjusted one, I think is
12 purely arbitrarily. That is, you showed the example of
13 Minneapolis versus Miami. I think we could probably show 15
14 other examples of things that make little sense.

15 So with that, let me then go and talk about the
16 competitive bidding mechanism and try to convince you. I
17 realize I'm on my soapbox now, but I ask for your ability on
18 this. If you could flip back one more slide -- yes.

19 So here, even if -- I would suggest two things can
20 be learned from both this slide and from the limited
21 evidence from Part D. The first is that those bands are
22 likely to compress under competitive bidding, and so they

1 would also compress downward. And so in the best of
2 circumstances, the one on the far right side, the 0.69 to
3 0.89 would probably compress around a range of 0.7-
4 something, so there are savings to be had there.

5 I would also suggest that when you're bidding --
6 and Tom, this is a version of your question -- we are in a
7 benefits competition model right now where the win comes
8 from enrolling people at whatever benefit level you can
9 enhance them in here. If you turn it to price competition,
10 and here I'm going to suggest bidding on the A/B benefit
11 package, plain vanilla, this would also serve most likely to
12 drive downward each of those ranges a bit. Some may be in
13 that left-hand bracket, which is a Portland or somewhere
14 that's hard to get to, maybe some more on the high-payment
15 areas, which are the far right-hand side, but generally
16 across the board, it could serve to save a fair amount of
17 money.

18 Now, I'm going to take this competitive bidding
19 model and make it a little bit fancier to satisfy some other
20 things. Right now, it operates under the KISS principle.
21 It is really simple. Bid on the A/B. Compress bids towards
22 things.

1 Number one, let's try to get rid of benefit
2 differences across regions, and here, rather than -- and you
3 can set the benefit win a variety of ways which don't matter
4 too much to me, but rather than give back against the
5 benchmark, I would suggest that benefit increases be earned
6 on a p-for-p type of basis, and I can see the somewhere
7 between four to 6 or 7 percent earned on a step-wise basis
8 for doing one thing, you know, having providers with HIT,
9 you win one percent. For doing something else, you win 2
10 percent. For doing something else, you win a third percent,
11 et cetera. So across the country, good plans could win the
12 same five, six, 7 percent. But the bids and the savings
13 would be in this particular mechanism.

14 I would also say, and just repeat myself here,
15 that in this right-side where high-payment areas, you could
16 save the most money and you could immediately attack things
17 like fraud and abuse because it would be an automatic
18 mechanism to going after that money. I mean, the plan that
19 I was with hated fraud and abuse. I'm not sure we were that
20 much better than Medicare and CMS, but I think we were
21 better because it was a local basis and in some ways it was
22 our money that we were spending and saving.

1 Another component of it, if you wanted to put some
2 suspenders on my belt of competitive bidding, is you put a
3 cap in on the top end of the bid, and arbitrarily I'll say
4 it's 110 percent, because there is some recognition that no
5 matter what happens, there ought to be some pressure on the
6 top end to get particularly good deals.

7 Let's see. I think that is all the points I was
8 trying to make.

9 DR. KANE: Would you put a benchmark in there?

10 MR. BERTKO: No benchmark. You do not -- you
11 don't need a -- well, you could. I mean, I said 110 percent
12 because I'm going to recognize that there are some areas
13 where it is not only difficult, but exceedingly difficult to
14 get down to 100 percent. I mean, I'm aware of the Portland
15 situation and I agree with Glenn's comments, and there are a
16 couple of others that are in that, where there are good to
17 very good plans that would be there.

18 Oh, I know the last part of this. In the win on
19 one percents, there is yet more room for competition. I'm
20 aware that -- for example, I would send the benefits to a
21 particular source of things as opposed to have as much
22 benefit variation as we do today. Some plans might be able

1 to pay for a maximum out-of-pocket with one to 2 percent of
2 their revenue. Other plans might need 2 to 3 percent, and
3 inefficient plans that still qualified might need 3 to 4
4 percent. And so there would be competition within that and
5 the competitive bid mechanism itself might add a small
6 amount of money, in the \$10 range, whether you were above or
7 below the way the competitive bidding benchmark was set.
8 And so in every instance, I've suggested here making a
9 mechanism that increases competition, thus driving down
10 costs.

11 And the last -- oh, the very last comment is why
12 should we stop at trying to be at 100 percent of fee-for-
13 service? Most of the revenue -- I won't say most. A lot of
14 the revenue, and I'll look to Carlos, Scott, or David to say
15 this, is driven from high enrollment in high-payment areas.
16 Those are the places where we can go below 100 percent, and
17 I think we owe it to the mechanism that we redesign to go
18 below wherever it's feasible and to float above wherever we
19 can't possibly get there.

20 Okay. Sorry for the long discursion.

21 MR. HACKBARTH: Let me just say a word about what
22 we're doing. We're not striving in this report to produce a

1 recommended method. Our task here is to lay out different
2 approaches and analyze their impact, their conceptual pros
3 and cons. So I just wanted to make sure everybody
4 understands we're not trying to get to a point where we say,
5 oh, that's the combination that I'm prepared to vote for.

6 The second point is that as John's comment
7 illustrates, there are ways that you can take a type, you
8 know, one of these methods and modify it in various ways,
9 add bells and whistles to achieve certain policy goals. So
10 there are a lot of different permutations of things and
11 trying to come up with the right combination, the right set
12 of permutations, I think is beyond the scope of what we can
13 do in the next few minutes.

14 So just a couple of comments to try to frame the
15 further discussion. I had Mike -- you had your hand up --
16 and Jennie dropped out.

17 DR. CHERNEW: Thank you. So I have a few
18 questions that I think are brief, but the answers might be
19 longer. The first one is, I would love to hear Carlos's
20 view of what we learned from the other literature about how
21 plan behavior changes in response to benefit benchmark
22 changes. What do the plans do when we change their

1 benchmarks and how will that fit with the assumptions?

2 I'm very interested in your thoughts about how the
3 idea of a spillover between the systems, between the MA
4 system and the fee-for-service system, would influence your
5 thinking about this. I think the literature overwhelmingly
6 suggests there's a connection between the markets, that what
7 happens in markets with a lot of MA plans influences what
8 care and practice patterns and a whole bunch of things in
9 TM, and I'm interested in how that connection might
10 influence how one would think about payment.

11 And the last thing I'm interested in is there's
12 this box on page -- it's not quite a box, but it looks like
13 it's going to be a box -- on pages 35 and 36 that gives us
14 the history of competitive bidding for Part C and there's
15 two ways to read that, depending on how early I've woken up
16 in the morning and how many boxes of Cheerios I've had. One
17 of them is this was such a good mechanism for driving out
18 excess profits from the plans that the plans just stopped it
19 politically, but if they were forced to do it, it really
20 would have been great.

21 And the other way to read it might be that there
22 were real problems or concerns with what happens if one

1 tried to do the competitive bidding. And I'm mostly
2 interested in the one example, which is the Denver example,
3 because that's the one that you cite where they had these
4 sort of good results, but Denver wasn't randomly chosen in a
5 bunch of ways.

6 And I'm very -- so as an economist, I like bidding
7 just naturally. That's like the movie I would like to go
8 see if there was a movie on bidding, as opposed to one on
9 administrative pricing, because that's how I was trained.

10 [Laughter.]

11 DR. CHERNEW: But I'm worried about issues like
12 the stability of what happens if you have a bidding system
13 over time. I don't believe that a bidding system inherently
14 gets plans to bid their costs. I do believe there's a lot
15 of potential for behavioral change and, you know, a whole
16 bunch of things can happen in these bidding models that you
17 might not see happening in the sort of limited
18 demonstrations. So I'm interested in your views about what
19 we might have learned from Denver and how we would worry
20 about those other problems. That was longer than I thought.

21 MR. ZARABOZO: Well, on the first point, you're
22 referring to the Peizer and Frakt article about what

1 happened with BIPA --

2 DR. CHERNEW: I think there's others.

3 MR. ZARABOZO: Yes. On that subject, generally,
4 what happens, if the payments go down, benefits go down.
5 The Peizer-Frakt situation was the payments went up, or the
6 particular article that I'm thinking of, which they went up
7 in March. So the benefits had already been announced for
8 2001 and then there was an increase through BIPA and so
9 plans were given options of what to do with the money, and a
10 lot of them increased benefits. One of the options then, as
11 John pointed out, was to provide money to providers. Now,
12 this is not an option currently with rebate dollars. If
13 you're providing money to providers, that goes into the A/B
14 bid. So at that point, you could just pass this on to
15 providers, so much of the money went on to -- passed on to
16 the providers, not in the form of extra benefits to people,
17 because the competitive situation was already kind of set
18 up.

19 DR. CHERNEW: But there were some payment changes
20 around the BBA, I think, that had a lot of plans dropping
21 out --

22 MR. ZARABOZO: Yes, which is a different --

1 DR. CHERNEW: -- so there have been studies about
2 plan entry and exit.

3 MR. ZARABOZO: Yes, and I want to point out also,
4 on the BIPA, one of the options that they had was at that
5 time, there was still the Benefits Stabilization Fund where
6 they could just keep the money for a future year to provide
7 the same level of benefits as they previously had, and that
8 fund had not been used by very many people except Kaiser in
9 a couple of cases. But that particular year, when they got
10 a bump-up in March, a lot of them just said, well, we don't
11 even know what to do with this money. We'll put it in our
12 Benefits Stabilization Fund, and, you know, because we're
13 already in the market. We have a set benefit package and so
14 on.

15 What happened in the Medicare+Choice experience
16 around the BBA, a lot of people say because of the BBA cuts,
17 that's what caused the departure of all the plans and so on.
18 A lot of that was related, as is mentioned in the mailing
19 material, to the overall market of what was happening in
20 managed care. That is, at the point when the BBA was
21 enacted, it seemed like a reasonable assumption that what
22 was happening in the private sector, which is managed care

1 plans are going to bring down costs, could also happen in
2 the same way in Medicare and they could do it throughout the
3 country.

4 MR. BERTKO: Carlos, could I add to your
5 statement? Everything you said is correct, but the BBA in
6 particular had one of the what's called prongs of payment
7 which compressed high-payment areas towards the median and
8 it compressed it so much that in virtually all of the high-
9 paying areas, it then flipped into the second prong, or a
10 different prong, which was the 2 percent increase, and
11 virtually all of the high-payment areas looked into their
12 future and can say, 2 percent increase a year, many of whom
13 had great difficulty living under 2 percent each year for
14 the next few years, thus causing the withdrawals from the
15 market in many areas, in my opinion.

16 DR. CHERNEW: I could see that happening under
17 some scenarios on a bidding or another scheme or the 75
18 percent, so I think that's a relevant -- I understand the
19 BBA is unique, but I do think there's lessons to be learned.

20 MR. BERTKO: The 2 percent maximum increase for
21 many years in the future is considerably different than
22 either the 75/25 blend or a competitive bidding model.

1 MR. ZARABOZO: And, of course, in that first year,
2 the 2 percent actually gave them an increase. They would
3 not have gotten an increase in that year had it not been for
4 the 2 percent, so -- Medicare spending went down, and under
5 the old methodology, it would have been a reduction in
6 payment to the plans, actually. So that provision
7 guaranteed it.

8 But the pull-outs were sort of in waves. That is,
9 there was a big movement into Medicare by private plans,
10 partly because they were looking at what was happening in
11 the private sector with premiums going down and it looked
12 like Medicare was going to be going up for the duration. So
13 markets became over-saturated, in a sense, so the new
14 entrants who had to match the benefit levels existing in the
15 areas were the first leavers. And then around -- 1999 was
16 the high year for plans and enrollment.

17 Two-thousand and 2001 is when you saw the big
18 departures, and a lot -- some of that is also the increase
19 in drug costs, because the big extra benefit was drugs at
20 that time and it was like mid-year in 2000 or maybe 2001
21 where drug costs shot up and the plans could not offer the
22 kind of benefits, those that remained, that they had

1 previously been offering.

2 Now the second part --

3 [Laughter.]

4 MR. HACKBARTH: Others? I don't know about you
5 folks, but I'm finding it difficult to keep focused on the
6 forest as opposed to getting lost in the timber here and
7 looking at all the bark on the trees, and that's not in any
8 way a criticism of the work you folks have done. I think
9 you've done a real good job in laying out options and
10 analyzing them so far as possible.

11 I do think the really big issues here have to do
12 with what are our goals for the program, and as it's
13 described in the paper, the goals have, I think, migrated
14 for Medicare Advantage for reasons that I understand and
15 sympathize with.

16 If you put up number 11, that describes the shift
17 in goals, you know, I would sort of modify that right-hand
18 box. There are people who want universal availability of
19 private plans for philosophical, ideological reasons. But I
20 think more typically -- you know, the real issue is added
21 benefits for their constituents, and there is a feeling of
22 inequity under traditional Medicare, that the States that

1 have very low traditional Medicare costs feel some sense of,
2 in some cases, outrage when they see beneficiaries in parts
3 of the country that are profligate in use of taxpayers'
4 dollars getting added benefits while their States that are
5 low-cost and efficient, they get no reward under Medicare
6 Advantage. And beneath that, they know that they are paying
7 the same tax rates and same premiums and they're getting
8 fewer benefits under traditional Medicare and they're sort
9 of fed up with that situation. And what they've elected to
10 do is use Medicare Advantage as a vehicle for redressing
11 that sense of inequity in traditional Medicare.

12 So I understand the feelings, but I think the base
13 problem here is that Medicare Advantage is an ineffective
14 tool for redressing the problems that they've identified,
15 and I think legitimately -- as an Oregonian, legitimately
16 identified. By using the wrong tool, we're creating a whole
17 different set of problems.

18 So I would say, you know, it's up to Congress to
19 set the goals, but if the goal is to redress the regional
20 inequity in traditional Medicare, I would say that there are
21 much lower-cost and more efficient ways of redressing that
22 regional inequity, one example being to change the financing

1 and starting with the Part B premium say, we're going to
2 give lower Part B premiums to the people in the low-cost
3 areas and higher Part B premiums to people in the high-cost
4 areas. You know, that's the straight shot, not running it
5 through private insurance companies.

6 Or alternatively, taking affirmative steps to
7 reduce traditional Medicare spending in the high-cost parts
8 of the country, either through rates or, as has been
9 happening in Florida recently, intensive review of claims
10 for certain services that are suspect. Again, address the
11 problem directly. Don't use this side avenue of Medicare
12 Advantage as the vehicle because it's ineffective, and
13 that's the key problem for me.

14 Under any of these options, alternatives, that
15 result in paying more for private plans in low-cost parts of
16 the country, we're increasing spending at a time that we can
17 ill afford it. We ought to be leveling down Miami towards
18 Oregon, not trying to move Oregon up towards Miami, given
19 our fiscal situation. But Medicare Advantage is working
20 against that.

21 And so my thoughts about this report are, our role
22 is to support the Congress and do the analysis that they

1 asked, and that is what the first two-thirds of the report
2 are about. Here are different options. Here are their
3 impacts. But the piece of this that I feel strongly about
4 is let's just get the goals straight and then choose the
5 most efficient means for achieving those goals, and I don't
6 think higher payments to private plans achieves any
7 reasonable goals that I've heard anybody articulate.

8 Now, having -- so that's my overall view. Among
9 these options, I do think that some are better than others,
10 and I know John said that of the options on page seven, he
11 thought the 75/25 blend was preferable. And rather than --
12 again, our goal here is not to endorse a particular option,
13 but I wonder if we can just say a little bit more in the
14 text about how to think about these parameters and assess
15 options.

16 What strikes me about the 75/25 blend -- actually,
17 it is not page seven that I wanted. It's page six that has
18 the -- yes, page six. You know, the columns that I look at
19 here, I look at the benchmark on the fee-for-service, the
20 middle columns there, the minimum and maximum. You know,
21 one of the things that I'd want to do is minimize that
22 spread, because the bigger that spread, the more distortions

1 you're going to get. If you have a big spread, you're going
2 to get plans enrolling a lot of people, increasing costs in
3 low-cost parts of the country. So I'm looking to reduce
4 that spread between the benchmark and fee-for-service.

5 And then you look at the next column and you see,
6 well, 75/25 does a pretty good job of limiting that spread.
7 Plan availability is still pretty high. And the average
8 extra benefits are still pretty high.

9 DR. REISCHAUER: It's the second worst at
10 narrowing the spread.

11 MR. HACKBARTH: Well --

12 DR. REISCHAUER: I mean, 100 percent fee-for-
13 service is the worst and it's the next worst and the other
14 two narrow the spread the most.

15 MR. HACKBARTH: No. I'm looking at the next two
16 columns, the comparisons of the benchmark to fee-for-
17 service, not the dollar columns. And so it's obvious to
18 everybody that my personal preference if I were king, would
19 be 100 percent of local fee-for-service, but as I look at
20 the other options and compare it to that, then I see 75/25
21 gives you less spread between the minimum and maximum on the
22 second set of columns. It gives you a high level of plan

1 availability and a high level of average extra benefits.

2 Now, I don't know if that's a rational way to
3 think about this or not, but I think that's what Congress is
4 looking for. What are the parameters that we should be
5 looking for to find a better system than we've got now?

6 MR. GLASS: Scott, tell me if this is correct. On
7 the extra benefits, on the bottom line there, where the
8 input price-adjusted blend is \$38, so that's the average
9 benefit for people who get extra benefits. But a lot more
10 people get extra benefits under that plan than get extra
11 benefits under the 100 percent fee-for-service option.

12 DR. HARRISON: A lot more areas do.

13 MR. GLASS: A lot more areas do. So even though
14 the --

15 MR. HACKBARTH: It's a tradeoff.

16 MR. GLASS: Yes. There's \$75 for those that get
17 them, but fewer get them.

18 DR. HARRISON: And the other trade-off that you
19 would have would be once you get down to a certain level of
20 extra benefits, you can't deliver them or beneficiaries say,
21 not enough. And so in spite of what I would call probably
22 accurate modeling, this one might be closer to much less

1 availability because plans would make a call that says, oh,
2 I can only offer \$10 here. We're out of here.

3 MR. HACKBARTH: The other comment that I'd make is
4 I think the bigger that spread is in the second set of
5 columns, benchmark compared to fee-for-service, the more
6 difficult it is to estimate the ten-year effect of this and
7 the greater the likelihood that the ten-year saving is not
8 going to be equal to 100 percent of local fee-for-service.
9 And so if we can help them understand that point as they
10 look at the analysis, I think that would be useful.

11 Okay. Any other comments on this? It's been a
12 very good piece of work. It's very complicated. It makes
13 my head hurt to --

14 MR. BERTKO: That's why actuaries get paid for
15 this.

16 MR. HACKBARTH: Right.

17 DR. MARK MILLER: We agree, though, that none of
18 us want to go to the movies with Mike Chernenw.

19 [Laughter.]

20 MR. HACKBARTH: Right.

21 DR. MARK MILLER: Is everybody squared away on
22 that?

1 MR. HACKBARTH: A competitive bidding movie.

2 DR. KANE: I haven't said anything yet except
3 stutter. I have Chart 5. Do we have a preference for where
4 we'd rather preserve MA plans on that chart, because my
5 sense is you'd want to preserve the ones that get you below
6 fee-for-service spending.

7 MR. BERTKO: Let me give Glenn's opinion said
8 differently, which is I think there are good coordinated
9 care plans in various regions of the country, some of which
10 live on the left-hand side of that, that deliver excellent
11 value. They just can't deliver it at 100 percent.

12 DR. KANE: Well, that's okay, but if we have to
13 sacrifice something here, would we rather sacrifice the low-
14 cost people who are getting less benefit and they're mad
15 because they're paying taxes and not getting as much
16 benefit, or do we want to sacrifice the plans that are
17 actually reducing the cost below fee-for-service?

18 MR. HACKBARTH: And my answer to that is clearly
19 the plans are most useful where they can do things that
20 traditional Medicare cannot and thus reduce costs.

21 DR. REISCHAUER: While it's not in our bailiwick
22 to think about political viability, the whole reason we got

1 into this was because there was nobody over on the left-hand
2 side --

3 DR. KANE: But I think we need to talk about these
4 options with respect to that.

5 DR. REISCHAUER: -- and if we eliminate them,
6 somebody will invent floors and ceilings.

7 MR. HACKBARTH: Yes, but again, the reason that
8 they invented them, I think in most cases, was not any
9 particular affinity for private plans, but they wanted to
10 provide drug benefits originally --

11 DR. KANE: They were taking drugs or they wanted
12 to provide drugs?

13 MR. HACKBARTH: Drug benefits. Drug benefits.

14 DR. MILSTEIN: Glenn, could you clarify whether
15 the output you anticipate in the report would simply be a
16 portrayal of the categories of consequences of different
17 solutions or are you looking for something that would do
18 that and prioritize which ones we think ought to be more
19 highly valued, because this conversation and the input has
20 sort of drifted back and forth between those two different
21 concepts of our deliverables to Congress.

22 MR. HACKBARTH: Yes. I don't think that we should

1 be trying to propose a particular option. I do think it
2 would be legitimate for us in that last third of the report
3 to say, you know, the goals are very important. Setting the
4 goals are very important, and here are the goals that we
5 think are important, but they may not -- that's ultimately
6 Congress's responsibility to set the goals.

7 DR. DEAN: I guess I just was going to echo that.
8 We keep getting ahead of ourselves, I think, because there
9 are so many of these questions you can't answer unless you
10 really know what the goal is, and we, I think, keep jumping
11 ahead of ourselves and then stepping back to try to decide
12 what was it we were trying to accomplish. I think you said
13 it to begin with.

14 MR. HACKBARTH: Let me just play it back to make
15 sure that we're in agreement. I think goal one ought to be
16 to bring private plans into the Medicare program when they
17 can help us reduce cost and improve care. And so the
18 greatest opportunities are on the right side of that graph.

19 We understand as another goal addressing regional
20 inequities. The issue would be, is this an effective tool
21 for addressing the regional inequities, and I think the data
22 show that it is not, that if you pay private plans

1 significantly more than traditional Medicare costs, you
2 don't get innovation. What we got was private fee-for-
3 service. We got plans mimicking traditional Medicare,
4 except at a higher cost.

5 DR. DEAN: I guess that was my problem with all
6 the extra benefit issue, is it just introduces another
7 confusing part. If our goal is really to push -- to try to
8 deliver something more efficiently, then that's what we
9 should focus on rather than adding more benefits.

10 MR. HACKBARTH: So I'm stumbling here, but bear
11 with me. Arnie, what I envision is I'd like to see that
12 last section, that last third that frames the issue make a
13 statement that we think the appropriate goals are these:
14 Improving efficiency in the delivery of medical care for
15 Medicare beneficiaries and reducing government costs. And
16 if we can take some out of this right-hand side to reduce
17 Federal outlays, I'm all for that, too.

18 MR. GLASS: So, Glenn --

19 MR. HACKBARTH: Were you looking for a
20 clarification, David? If so, just go first.

21 MR. GLASS: Yes, briefly. So first, our goal is
22 for the Medicare program as a whole, correct, and then we

1 talk about goals for the MA program underneath that?
2 Because you've said that you can't -- the first goal should
3 be to stop having areas with incredibly high service use and
4 poor quality in the fee-for-service program --

5 MR. HACKBARTH: No, the way I would state it is
6 the goal for Medicare Advantage ought to be to enlist
7 private plans in the task of improving efficiency and
8 quality, and through that reducing Federal expenditures.

9 And then sort of the second paragraph is, we
10 recognize that there are other legitimate policy goals and
11 one of those would be to redress regional inequities. Our
12 concern here is not with the goal, but rather using Medicare
13 Advantage as the vehicle for trying to achieve that goal.
14 We don't think it's a very effective one and alternative
15 ways of addressing that goal would be through the
16 traditional Medicare payment structure, the traditional
17 Medicare financing structure. Those are the straight paths
18 to addressing regional inequity.

19 DR. CROSSON: If I could just make a point, what
20 occurs to me is you didn't make up that goal. The goal you
21 just stated for Medicare Advantage was, in fact, as I
22 understand it, the original goal.

1 MR. HACKBARTH: Yes.

2 DR. CHERNEW: We're negotiating. I think that
3 Medicare Advantage is best understood as a tool to achieve
4 broad Medicare program goals, and in the spirit and related
5 to this conversation, I think it's important to recognize
6 that the importance of private plans in Medicare, at least
7 some private plans in Medicare, is not only to provide
8 benefits and care to the people who actually choose those
9 plans, but I don't think we can ignore the fact that the
10 presence of the Medicare, the private plans in Medicare,
11 influence the markets overall.

12 They share the same provider networks. They
13 influence the diffusion of imaging services. They innovate
14 in terms of when we can have outpatient surgeries as opposed
15 to not having outpatient surgeries. I think there's a lot
16 of innovation in the care of people that are often done in
17 the better MA plans that because they're sharing providers
18 spill over into the traditional Medicare program.

19 And so I think that the traditional Medicare
20 program we have is not the Medicare program we would have
21 had if we had never had any MA plans. And it's that balance
22 of understanding that I think becomes important in how you

1 use Medicare Advantage, not just to provide care for those
2 people who choose Medicare Advantage, but how Medicare
3 Advantage and private plans in general might support the
4 overall goals of the Medicare program and help the health
5 care system become more efficient.

6 MR. GEORGE MILLER: I'm going to try to state it a
7 little bit different. If you start at the overall premise
8 of sustainability of the Medicare program overall as the
9 ultimate goal and each area we deal with, and each chapter
10 has that overarching, then in this particular chapter
11 dealing with MA, what we come up with, what we end up with -
12 - the question is, will it still have a -- at least in my
13 mind, will we still have to subsidize someone to provide
14 those services?

15 If it is better quality, then I think that's a
16 different issue. We may want to address that. But even in
17 addressing that, we've still got to look at, at least in my
18 mind, the overall goal is sustainability of the program.
19 Just fundamentally, I have a little bit of a problem
20 subsidizing something that I may not -- if I'm in an area of
21 the country where I can never get that benefit, why should I
22 subsidize that benefit for a smaller number? So I think we

1 have to address and wrestle with those issues, also.

2 MR. HACKBARTH: Okay. Other thoughts on this?

3 Okay. Thank you very much.

4 Okay. Next up is the next-of-kin report, also
5 MIPPA on how to compare quality between Medicare Advantage
6 and fee-for-service Medicare.

7 MR. ZARABOZO: Good afternoon. John and I are
8 here to discuss another report mandated by MIPPA, which is
9 the report to the Congress on the topic of quality, as Glenn
10 mentioned, and Medicare Advantage and the traditional fee-
11 for-service sector.

12 The congressional mandate for this report is
13 consistent with recommendations the Commission has made in
14 the past to the effect that Medicare should collect
15 information on quality that enables the comparison between
16 the two sectors, Medicare Advantage and fee-for-service
17 Medicare. The main subject of the report is an analysis of
18 the methodology that should be used to compare MA with fee-
19 for-service along with an examination of the methodology for
20 making comparisons of quality across MA plans. The report
21 is due in March of 2010.

22 The statute specifically directs the Commission to

1 address technical issues such as the implications of new
2 data requirements and benchmarking performance measures.
3 The report is to include any recommendations for legislative
4 or administrative changes that the Commission finds
5 appropriate.

6 Since presenting our work plan for the study to
7 the Commission last fall, we've conducted about two dozen
8 interviews with CMS staff and stakeholder groups
9 representing health plans, providers, beneficiaries, quality
10 measurement and reporting organizations, and health services
11 researchers specializing in these issues.

12 As a result of what we're learning from these
13 interviews and from our ongoing review of the literature,
14 we've developed a draft framework for our analysis that John
15 will go through in more detail after a discussion of some
16 general issues.

17 I should mention, though, that one of the issues
18 that John will highlight is the question of tradeoffs
19 involved in going from the current systems of quality
20 measurement to alternative systems, and to what extent any
21 changes could strain the already limited administrative
22 resources available to CMS, as well as impose new burdens on

1 plans and providers.

2 To begin the general discussion, this slide
3 presents a high-level comparison of the major sources of
4 data on quality currently in use in the two sectors, MA and
5 fee-for-service. In fee-for-service Medicare on the
6 lefthand side, quality for the most part is measured and
7 reported at the provider level. That is, the results tell
8 us how a specific provider performed for the patients that
9 provider actually served. In contrast, MA quality for the
10 most part is reported at the plan level. That is, the
11 results tell us how the plan as a system of care perform for
12 its entire enrolled population.

13 There's one important exception to these general
14 rules, and that's the CAHPS MA and CAHPS fee-for-service
15 surveys that I'll discuss after this slide. This slide
16 shows that quality measurement in fee-for-service Medicare
17 is structured around specific provider types, as shown in
18 the first bullet of the lefthand box.

19 Setting aside physicians for a moment, for the
20 other provider types, CMS gathers data for specified sets of
21 quality measures, and then publicly reports the results on
22 the Medicare website. CMS also has implemented incentives

1 for providers to report on measures.

2 Most of the quality measures currently used in
3 fee-for-service are process measures that assess whether a
4 specific service was performed for patients who met the
5 inclusion criteria, but the skilled nursing facility, home
6 health, and dialysis measure sets include more outcome
7 measures, such as changes in functional status. CMS is also
8 introducing outcome measures such as mortality and
9 readmission rates into the hospital quality reporting
10 system.

11 The Commission, in the past, has made
12 recommendations for improved quality measures and fee-for-
13 service. For example, in the case of measures that skilled
14 nursing facilities should report on. For physicians in fee-
15 for-service, the physician quality reporting initiative is
16 used to gather data and provides bonus payments for
17 physicians who meet the program's reporting criteria. CMS
18 does not publish the performance rates on the PQRI measures,
19 though it recently added an indicator on the Medicare
20 Physician Finder to inform users when a particular physician
21 successfully participated in PQRI in the previous year.

22 The other quality measurement system in fee-for-

1 service is a version of the Consumer Assessment of
2 Healthcare Providers and Systems, or CAHPS that was
3 developed specifically to be fielded for the Medicare fee-
4 for-service population.

5 Like all of the CAHPS instruments, the CAHPS fee-
6 for-service survey measures respondents' perceptions of
7 quality and access to care. Almost all of the questions in
8 the fee-for-service version of CAHPS ask about the
9 respondent's experience with ratings of his or her care
10 providers.

11 In MA, the Healthcare Effectiveness Data and
12 Information Set, or HEDIS, is used to measure plan-level
13 performance on a number of process and intermediate outcome
14 measures.

15 In the last round of published HEDIS measure
16 results for Medicare, there were 48 indicators in total, 7
17 of which were intermediate outcome measures, such as
18 maintaining a specific level of blood glucose or blood
19 pressure control.

20 There are two beneficiary surveys in MA, CAHPS and
21 the Health Outcome Survey. Like the fee-for-service version
22 of CAHPS, the MA version, which actually was developed

1 first, asked respondents for their perceptions of the
2 quality of and access to providers within their health plan,
3 as well as the quality of health plan services, such as
4 member services.

5 The Health Outcome Survey was developed
6 specifically for the Medicare population and is designed to
7 measure changes in respondents' self-reported physical and
8 mental health status over a two-year period, as well as
9 collecting information about other aspects of cares and
10 interactions with healthcare providers.

11 Having briefly reviewed the available systems of
12 quality measurement, we now consider some of the options
13 for building on the current measurement systems to compare
14 quality between MA and fee-for-service Medicare.

15 One option that has been used in the past is to
16 compute HEDIS-like values for the fee-for-service program by
17 applying HEDIS measures to fee-for-service claims data.
18 This is how Fisher and colleagues for the Dartmouth Atlas
19 Project have developed fee-for-service measures for the
20 Robert Wood Johnson Foundation, aligning forces for quality
21 program.

22 Technically, this is straightforward, but fee-for-

1 service scores on some of the HEDIS measures, particularly
2 those that rely on data such as laboratory test results,
3 pharmacy data, and intermediate outcomes that require
4 medical record review would yield incomplete results if the
5 fee-for-service results were based solely on claims data.
6 Part D information could be combined with Part A and Part B
7 claims information to obtain drug data in fee-for-service.
8 Lab information would have to be obtained in fee-for-
9 service, which is something the Commission recommended in
10 2005. Based on our discussions with provider
11 representatives, purely claims-based approaches may not
12 viewed as an accurate measure of quality in fee-for-service.

13 Another issue in such a comparison is defining the
14 appropriate geographic unit. It is not clear what the
15 appropriate geographic unit would be for a population-level
16 comparison between MA and fee-for-service. There are other
17 measure sets that currently exist that could provide more
18 information on quality in each sector. These other measure
19 listed on the bottom of the slide include outcome measures
20 and measures of care management and care transitions.

21 There are also two beneficiary surveys in use that
22 can be the basis of comparisons between MA and fee-for-

1 service, the CAHPS MA and fee-for-service surveys have been
2 used on the past to compare MA and fee-for-service on a
3 national, state, and in some areas, local level.

4 The Health Outcome Survey, or HOS, also offers a
5 technically feasible method for comparing the results for MA
6 and fee-for-service. In the past, researchers have used the
7 equivalent of fee-for-service HOS results to compare fee-
8 for-service and MA results on changes in beneficiary
9 perceptions of their healthcare status over time.

10 For both these surveys, because they are
11 population based, they may be less valuable for promoting
12 improvements among fee-for-service providers versus their
13 potential for promoting improvement within a system of care
14 like MA.

15 John will now walk you through a draft framework
16 for evaluating different approaches to quality measurement
17 by looking at the tradeoffs among several criteria.

18 MR. RICHARDSON: The framework we have drafted is
19 a matrix that we can use to compare the strengths and
20 weaknesses of the various quality measurement systems in
21 meeting a set of criteria. This tool can highlight the
22 tradeoffs among these often-conflicting criteria when

1 deciding which quality measurement system could be used to
2 compare quality between MA and fee-for-service and to
3 improve quality comparisons within MA.

4 Our first cut of these criteria are listed in the
5 rows that are visible in this slide. We selected these
6 criteria based on the terms of the congressional mandate,
7 earlier input from the Commission, and the results of our
8 research and analysis to date. These criteria are also
9 reflected in the discussion questions on pages 27 and 28 in
10 your mailing materials. I will briefly touch on each of
11 these in a moment when I walk through an example of using
12 the framework, but first we need to finish building it out.

13 The next step in building it is to array each of
14 the major quality measurement systems in the table's
15 columns, including the provider-level measures used in
16 Medicare fee-for-service, the HEDIS system, CAHPS, the
17 Health Outcome Survey, a system that would use enhanced
18 administrative data, which could include medical and
19 pharmacy claims data, encounter data recorded by health
20 plans, and other types of administrative data such as
21 laboratory test results and hospital discharge records, and
22 finally, a system that uses clinical data that are available

1 only in medical records, such as are used in the original
2 ACOVE measure set.

3 With the skeleton of the framework in place, the
4 next step would be to assign a value in each cell based on
5 whether each measurement system meets each criterion.

6 In your copies, Commissioners' copies of this
7 slide, we have filled in each cell with our preliminary
8 assessments of these values, but to make this thought
9 process more concrete, I'll walk through an illustrative
10 example of using the tool.

11 In this illustrative example, we will use only the
12 three measurement systems listed at the top of the table:
13 current fee-for-service provider quality reporting, HEDIS,
14 and an enhanced administrative data system. Also in this
15 example, we have assessed whether or not each system meets
16 each criterion simply with a binary yes or no indicator in
17 most cases. These evaluations could be made more nuanced by
18 assessing the degree to which each option meets the criteria
19 and assigning a numeric value, say, on a scale of 1 to 5.
20 But in this simplified example, we will use a
21 straightforward yes or no assessment in most of the cells.

22 I also should emphasize that all of the entries

1 shown in this example are for illustrative purposes only.

2 So, the first two criteria assess whether the
3 measurement approach is useful for meeting the two basic
4 mandates set forth in the MIPPA provision: Is it useful for
5 comparing MA and fee-for-service Medicare, and is it useful
6 for comparing among MA plans? Now, the term useful here can
7 be defined to encompass whether the performance measures in
8 a given system would be broadly accepted by CMS plans,
9 providers, and beneficiaries as valid measures of quality,
10 whether the measures are technically capable of
11 distinguishing differences between the units of analysis in
12 a statistically valid and reliable way, which could include
13 risk adjustment when appropriate, and whether they enhance
14 our ability to measure and report on disparities in the
15 quality of care among communities with certain demographic
16 or socioeconomic characteristics.

17 Against these two criteria, we determine that the
18 current fee-for-service provider quality reporting system
19 would get Ns in both of the cells. Since it is currently
20 designed, it is not useful for comparing MA to fee-for-
21 service or for comparing among MA plans.

22 In contrast, HEDIS and an enhanced administrative

1 database system could potentially be used for both those
2 purposes, so they get Ys in those cells.

3 Next, we would evaluate the potential increases
4 relative to the status quo in the costs and the
5 administrative burden for each approach. The cost and
6 burden increases could be borne to varying degrees by CMS
7 plans and providers, but at the risk of oversimplifying,
8 we've reduced the question to one dimension. Overall, will
9 the proposed change increase costs and administrative
10 burdens for providers' plans in CMS or not?

11 Next, we would consider whether each system could
12 provide actionable information to fee-for-service providers
13 and MA plans such that they could design and implement
14 activities to improve their quality. From the assignment of
15 yeses and noes in this illustrative example, one could
16 conclude that these criteria could be met separately by the
17 fee-for-service provider and HEDIS systems respectively, or
18 for both sectors by one system that incorporated enhanced
19 administrative data.

20 Next, we would look at the unit of measurement
21 supported by each system. Would the system accommodate
22 measurement and reporting at the level of individual

1 providers or groups of providers, at the level of a plan for
2 MA or population for fee-for-service, or both?

3 We should note here that the degree of
4 disaggregation that would be feasible under a provider-level
5 approach would depend heavily on the specifications of the
6 quality measures and the availability and reliability of the
7 administrative data used.

8 Next, we would assess the geographic area for
9 which each system could measure and report quality.
10 Different systems could allow for measurement and reporting
11 from the national level all the way down to the level of
12 individual hospital referral regions.

13 As with the preceding provider or plan unit of
14 measurement discussion, the more granular one wishes to get
15 with the geographic area, the greater the costs and
16 administrative burdens one would place on the system.

17 Next, we would look at the types of quality
18 measures used in each system, and here we could decide to
19 put more or less emphasis on certain types of measures
20 compared to others. For example, if one decided that
21 outcome measures or patient experience measures should be
22 priorities, that decision could guide you towards

1 measurement systems that included those types of measures.

2 And the final major element is an assessment of
3 whether quality reporting under each system is useful for
4 beneficiaries when they make decisions about which provider
5 to seek care from, whether to enroll in MA, and if they do,
6 which MA plan to select.

7 In this context, we propose to focus on the intent
8 or potential of each system to inform beneficiaries, but we
9 also fully acknowledge the information that's detained in
10 the literature and conveyed to us personally by beneficiary
11 stakeholders that many, if not most, beneficiaries currently
12 make little use of the quality information that is
13 available. In response to these concerns, this criterion
14 could be defined to include whether a quality measurement
15 system makes quality information more or less accessible to
16 beneficiaries in practices as well as by design.

17 So, in summary, we are presented a draft framework
18 for sorting through the interconnected tradeoffs involved in
19 responding to the congressional mandate. To advance to the
20 next phase of our work in actually filling in the matrix and
21 evaluating specific courses of action to meet both aspects
22 of the mandate, we seek your input, particularly on the

1 draft framework. Are there other criteria that should be
2 factored into the analysis? Are there other measurement
3 systems we should include? And more broadly, are there
4 specific goals for a quality measurement system that can
5 guide us in filling in the framework and evaluating the
6 tradeoffs?

7 We're also interested in your views on the extent
8 to which we might address improving quality reporting and
9 measurement by capitalizing on the forthcoming investments
10 in health information technology that were authorized by the
11 recently enacted economic stimulus law.

12 Thank you, and we look forward to your questions
13 and discussion.

14 MR. HACKBARTH: Okay. Round one questions.

15 DR. CROSSON: Just a technical question about the
16 Health Outcome Survey. As I looked at that, or at least
17 looked at a subset of the questions, the ones that are
18 included in the Star survey, it occurred to me that it might
19 be hard to differentiate in at least some of those questions
20 between underlying health status and the impact of the care
21 delivered by the providers or attributed to the plan or
22 whatever unit.

1 Is that the case? Is there a way to mitigate
2 that?

3 MR. ZARABOZO: I think that's the case. I'm not
4 sure if they make some sort of an adjustment at the
5 individual beneficiary level for the response.

6 MR. RICHARDSON: I believe that they do make an
7 adjustment. We heard the same concern during our meetings
8 with various stakeholders of whether the adjustment that is
9 made in the instrument itself is sufficient to capture what
10 you're getting at. So, there are really two different
11 questions. One is, is there a technical adjustment made
12 there, and I believe that there is, but we can certainly
13 verify that for sure.

14 But I think another part of your question is, even
15 if there is an adjustment, to what extent does that actually
16 get at the differences in the underlying health status in
17 people's responses to that.

18 DR. CROSSON: That's a better question than I
19 asked.

20 MS. HANSEN: Just as a question of clarification
21 on the data collection itself, are all of these tools built
22 up in a way that they do collect race and ethnicity as part

1 of the question, just because I know there has been at least
2 one study that has pointed out to some of the disparities,
3 even though Medicare does have access?

4 MR. ZARABOZO: There has been a lot of work on
5 CAHPS, in particular, to attempt to identify race and
6 ethnicity. So, I would say that they are probably
7 relatively good on a relative scale, because they have paid
8 particular attention to that issue and how best to identify
9 race and ethnicity, not exclusively using, for example, the
10 Social Security information or Medicare-based information.

11 MS. HANSEN: But the CAHPS is more just the
12 patient experience, but in terms of the actual clinical data
13 itself.

14 MR. RICHARDSON: I think you've put your finger on
15 one of the issue among many of using administrative claims
16 data in particular, and we could certainly -- I don't want
17 to read into what you're saying, but that could be one of
18 the criterion we use to evaluate the quality of the data, if
19 you'll pardon the expression, in using that, is that if it
20 is important to be able to have good measures of
21 disparities, but the data you're using aren't going to help
22 you do that, then that's one of the things we need to

1 balance.

2 DR. CHERNEW: They're not powered for that often,
3 either, which is a separate issue. The data, there's a
4 power to be able to use.

5 MR. GEORGE MILLER: In this work is the comparison
6 of the quality data between fee-for-service an the MA plans.
7 Is the goal to have less or an equitable amount so that
8 we're not creating more data gathering. I'm thinking of
9 small, rural hospitals and rural hospitals, whether they're
10 small or large, quite frankly, if we're not duplicating or
11 making extra work. Quality measurement is very, very
12 important. I'm not making light of that, but I'm wondering
13 if we're setting a separate standard for an MA plan and a
14 separate standard for the fee-for-service measurements.

15 MR. RICHARDSON: I think that's one of the
16 critical issues that Congress is looking to us to help them
17 trade off against the other goals one might have for quality
18 measurement systems, but it is an explicit part of the
19 mandate.

20 MR. GEORGE MILLER: So, in your work, you're
21 talking about a coordinated effort and not a comparison.

22 MR. RICHARDSON: No, not necessarily. If one of

1 the criterion -- if a great amount of emphasis is put on the
2 criterion of we need to be mindful of the cost and the
3 burden placed on the providers and the system, CMS, as well,
4 then that is going to help us figure out some other things
5 that we might otherwise do if that wasn't a criterion. I'm
6 not being very linear here.

7 If that is the predominant criterion and we
8 recommend that that is a major one, then what we could do in
9 the report is say these are the kinds of things you could do
10 with that constraint in place, if you want to look at it
11 that way.

12 MR. GEORGE MILLER: And just a quick follow-up:
13 Have you talked to everyone that would be involved, rural
14 providers, physicians, and all those folks who have respond
15 to that gathering of data for measurements?

16 MR. RICHARDSON: We have spoken with some of the
17 representatives. I'm not sure if we did with rural groups
18 in particular, but we can certainly do that.

19 MR. GEORGE MILLER: That would be a good thing.

20 MS. KANE: Maybe I'm just overly influenced by
21 Massachusetts, but don't most MA plans basically pay claims
22 to fee-for-service type providers, and isn't there a way --

1 well, you're shaking your head, but we have three plans that
2 pay claims and they're MA plans. I'm just saying, isn't
3 there a robust number of MA plans that could reasonably be
4 compared because they have claims. They are paying fee-
5 for-service providers, or you could look at -- I guess one
6 question is, when you're looking at hospital compare and
7 nursing home compare, you're looking at the providers'
8 experience in achieving infection or discharge instructions
9 to the patient, and do we really think that they're
10 different whether they're an MA patient or a traditional
11 Medicare patient? So, wouldn't it be possible, even if the
12 MA plans don't want to give you their claims data to say,
13 well, which hospitals do you use in your network
14 proportionally and then say, well, for these plans -- I'm
15 just trying to think of ways you can use what's there and
16 allocate them even to the plans if they don't want to give
17 you their claims data.

18 It just seems like some of the stuff that we use
19 for hospital compare, they're just using the same hospitals
20 as everybody else, and you could compare the plan's
21 particular network using the traditional Medicare data. I
22 don't know what proportion it is, but I would guess it would

1 be a fairly large proportion.

2 MR. RICHARDSON: Well, in fact, hospital compare
3 data are all adults in the hospital. It's a sample of --

4 MS. KANE: All payer.

5 MR. RICHARDSON: All payer, thank you. But the
6 sample isn't large enough to distinguish between MA and fee-
7 for-service. I know that's not what you're suggesting.
8 You're saying you could use those results to reflect the --

9 MS. KANE: The provider profiles of who signed up
10 in which MA plans.

11 MR. RICHARDSON: Right. Now, we have come across
12 another study that researchers at AHRQ did where, if you
13 aggregate up to a larger geographic area, say, a state, then
14 you can start to see some differences between MA and fee-
15 for-service, at least in the -- they didn't look at quality.
16 I think they looked at the quality indicators that look at
17 ambulatory care sensitive indicators, which is one of the
18 things we talked about in the paper, but they were more
19 concerned with utilization differences, and they were
20 probably seeing differences in the plans networks versus
21 fee-for-service.

22 My point being, it depends on the level of

1 geography. If you abstract it away from the provider-level
2 measurement up to a higher level of geography, you could
3 actually start to see differences between the two systems.

4 MR. BERTKO: Nancy, if I could just -- I was
5 disagreeing only with your use of the adjective most and
6 more in the physician side than in the hospital side there
7 are a fair number of physician groups taking capitation
8 where you'd have difficulty attributing to that. Perhaps on
9 the hospital side it might work better.

10 MS. KANE: Most of the traditional -- I guess most
11 fee-for-service reporting isn't physician-side anyway, it's
12 institutional. If you look at hospital compare, nursing
13 home compare, home health compare, dialysis facility care --
14 but the physician one just tells you whether they report or
15 not. So, I'm not sure that that's the -- but of the four
16 traditional measures, it seems like we could approximate
17 what that network looks like and see if the network in the
18 MA plan has better or worse performance than the more
19 generic network in that state. It's a simple, cheap way to
20 do a comparison early.

21 DR. MILSTEIN: Just a question about the form in
22 which you're envisioning our recommendation would appear.

1 In some ways it can parallel to the prior conversation. I
2 think there are a number of options.

3 Option 1 is we simply take all these measurement
4 systems and show the positive and negative consequences of
5 each measurement method, and we can rate that either in
6 binary or on a Star-rating system. That's option a for how
7 we answer Congress's question.

8 The second is we take a step further and we lay
9 out that matrix, but we star the criteria we think are more
10 important.

11 And then, the third option is it's the all things
12 considered question. Well, in view of this analysis, all
13 things considered, we think these are -- these are the
14 measurement methods we should use to compare the two
15 programs and perhaps augment that with some collateral
16 suggestions that would make a difference.

17 So, my question is, which of those three outputs
18 are you envisioning would be the form of our answer to the
19 question Congress has asked?

20 MR. RICHARDSON: I think the most hopeful,
21 anticipating -- based on the mandate is the last example,
22 which is, some -- I think just giving them the matrix on the

1 one hand, on the other hand would be somewhat less helpful
2 than saying, in the Commission's view, the priorities are
3 the burden on providers, for example, if that was one, or
4 the ability to report on ethnic and racial disparities,
5 whatever those are, as you guys help us figure this out,
6 then I think those would be the most helpful things, and
7 there may be some specific administrative changes that may
8 improve certain technical aspects of the way that the
9 current systems work.

10 I don't know if you want to mention the plan.

11 MR. ZARABOZO: Yes, that was what we mentioned,
12 for example, in the mailing material about the health plans
13 and the level of reporting statewide versus a small area,
14 the Tallahassee situation.

15 DR. MILSTEIN: If I understand correctly, then
16 you're saying we would go to the second level but not the
17 third level, the second level being, of the possible
18 consequences of the different measurement methods, these are
19 the consequences that we think should be most highly
20 prioritized, but we would not recommend a set of measures.

21 Is that what you --

22 DR. MARK MILLER: What John was saying is that if

1 we thought we could get to recommending a specific path, we
2 would, and then what I thought you were qualifying was,
3 unless -- and putting it out in front view -- the concerns
4 were, well, this doesn't quite get to my concern for
5 disparities, burden, whatever the case may be, which then,
6 as a Commission, we might have to take a step back. I think
7 the objective is to try and give a fairly coherent plan of
8 how we want -- use this instrument or don't use this
9 instrument, use these measures or don't use these.

10 Now, having said all of that, we're going through
11 a fairly complex and difficult process in trying to put this
12 together, and all I want to say is that's the objective, is
13 to try to get to the point where we can name what we think
14 they ought to do, but this is very complicated going, here,
15 in case that hasn't come across; I'm sure it has.

16 And so, as always, I'm the guy to bring everybody
17 down and all of that. So, I want to just -- our objective
18 is to get you to a recommendation, but --

19 DR. CHERNEW: I just wanted your thoughts on the
20 comprehensiveness of some of these. So, say process of
21 care, and sometimes these things -- oh, this measures
22 process of care as if there's one outcome, but oftentimes

1 there are very limited measures within those categories.

2 And I think I can just Arnie about to say
3 something, which is good, Arnie.

4 So, I'm not trying to argue that we shouldn't take
5 one step because we can't take the best step, but I just
6 want to be sure that we're clear that, because we have this
7 set of measurements and we compare, say, MA and fee-for-
8 service, it's possible that one of the systems or one plan
9 versus another looks better on the measures we have that may
10 or may not imply they're better overall.

11 MR. RICHARDSON: As if there's some objective
12 best. Yes, I think it is going to be -- and part of the
13 difficulty Mark was alluding to is there will probably be
14 some dissatisfaction with whatever -- in other words, there
15 will be limitations to whatever we try to do, and I think --
16 and part of what we struggle with is how do we square all
17 these circles, and we may just end up with a triangle.

18 DR. STUART: I fully concur that looking of the
19 value of the measure compared to the burden that it places
20 is important, although it strikes me that burden to CMS is
21 really quite a different character than burden to the
22 providers for the simple reason that if we thought that a

1 particular set of measures was valuable and it would
2 require that CMS expend resources in order to get it, we
3 could make the recommendation conditional on giving CMS the
4 resources.

5 In fact, I think that's something that we should
6 consider as a Commission in all of the things that we do.
7 If we make a particular recommendation, I've heard over and
8 over and over again about how constrained CMS is. Well,
9 let's be proactive about that so when we come up with
10 something like this, then let's say, okay, well, they need
11 more resources to do it and this is what we think they
12 should get.

13 MR. HACKBARTH: Other questions? Comments?

14 We have migrated from One to Two.

15 MS. KANE: So, it seems that this is saying, well,
16 here's where we are today under current fee-for-service, and
17 then here's some things that are out there today for the MA
18 plans. But given that all we've been talking about for the
19 last couple of years in terms of strong recommendations
20 involve some form of ACO or medical home or episode or some
21 type of Part A/B bundling.

22 Couldn't we, instead of trying to fix what's not

1 working in the historic structure, try to go towards
2 recommending quality measures that would work under ACO,
3 medical home, Part A/B bundling and be more comparable,
4 rather than trying to twist something that doesn't twist too
5 well, on a traditional system that doesn't work so well?
6 I'm just wondering if there's not a way to say, well, yes,
7 maybe add as a criteria, anyway, that this could work if we
8 had -- your biggest problem is you don't have a denominator
9 for a lot of the fee-for-service measures. You don't know
10 who's in there. But you would know who's in there for a
11 medical home, you'd know who is in there for an ACO, you'd -
12 - I don't know about episodes.

13 But anyway, just start thinking about it more in
14 terms of where we hope the delivery system is going rather
15 than just putting a huge infrastructure of quality reporting
16 in something we're hoping will go away.

17 DR. CROSSON: As typical, I was going to make some
18 similar comments. Nancy and I tend to think a lot alike.

19 Some of this is obvious, and I apologize, but I
20 think some criteria that occurred to me is, as we look at
21 these possible ways of doing it is we want whatever we
22 recommend to be doable. There's no point in suggesting

1 something that's not practical.

2 It probably needs to be something or some process
3 that's as accurate as possible, therefore is as objective as
4 possible, and it should measure important stuff. There's a
5 lot of things you can measure, and some things are more
6 important than others in terms of their impact on health and
7 impact on cost and the like, and I have a bias towards
8 clinical information in that regard.

9 As was mentioned in the report, it should support
10 improvement, in other words, be actionable, something that
11 can actually -- it's interesting to compare things, and we
12 may want to use comparisons to move money around, but
13 ultimately it is most important if it actually changes care.

14 I think, and I don't know how to do this -- and
15 this is sort of where Nancy was -- I think that what we
16 should do should support or presage where we're going to be
17 in the future. And in the future, where we're going to be,
18 in part, is we're going to be in possession of a good deal
19 more clinical information than we have now through clinical
20 information technology. We don't have that now, except in
21 some places, but we will, most likely. And therefore,
22 whatever we put in place at least should not take us

1 marching off into a very different direction so that when
2 that information is available we have to completely reverse
3 course, tear everything up, and go in a different direction.

4 The issue that I find the hardest is this issue of
5 level of attribution and the difference between Medicare
6 Advantage and Medicare fee-for-service in terms of who you
7 actually hold accountable, not just because it is what it
8 is, which is, in one case, you have entities, and in the
9 other case, you have individual practitioners, but because
10 that difference also feeds back into what you can measure.

11 So, for example, and I'll say this because it's
12 probably more complex than what I'm saying, but if you
13 wanted to measure the mortality rate from coronary artery
14 bypass surgery, let's say, a 48-hour mortality rate from
15 coronary artery bypass surgery, you could do that pretty
16 much equally in both settings. If you want to measure
17 something as important as the long-term sequelae from
18 diabetes mellitus, it becomes -- that's something that an
19 entity, whether it's a plan or integrated delivery system
20 can be accountable for over time. It's much harder to
21 understand in the fee-for-service environment how you would
22 do that. Who would be held accountable for those results?

1 And therefore, that difference, in the end, limits
2 those things that you can measure. So, I think trying to
3 tackle -- and I think this is where Nancy was going --
4 either temporizing or trying to figure out how to tackle
5 that issue may be among the most important.

6 MR. HACKBARTH: This is a conceptually appealing
7 thing to do, but the more I think about it, the more
8 difficult it sounds. This isn't a proposal but a question:
9 Maybe we need to consider as a possible outcome that, no,
10 this isn't worth the effort, with existing technology, that
11 we'd end up spending too much doing backward-looking things
12 and it's a task that's better tackled when we've got better
13 information technology in place, whatever. Again, that's
14 not a conclusion that I've reached, but sometimes that's the
15 right --

16 DR. REISCHAUER: Arnie is ready to go after you.

17 MR. HACKBARTH: I know. I can see his jaw
18 clenching.

19 Let me get back to my last before I give Arnie a
20 chance to talk.

21 MR. BUTLER: He's going to be loaded for bear.

22 I tried to reorganize this in my simple mind and

1 see what might evolve realistically. On the positive side,
2 if you look in, whether it's the hospital or the nursing
3 home -- look in the various silos of services, and I think
4 we have got some momentum on quality measures and also tying
5 them to payment, if not now, more aggressively in the
6 future. I think we can point to some successes in the
7 components of care, and so we don't want to slow that down.

8 So, what are we trying to marry that with? We're
9 trying to marry the added value of the assembler of care,
10 the MA plans, and saying, okay, what's the difference. So,
11 it's almost like if you could lay out -- this plan is using
12 this nursing home, this hospital, this doctor, and you could
13 somehow aggregate the score of the performance of those
14 individual units, you'd get a sense of what the network of
15 what the value is, and you'd continue with the HEDIS
16 measures, which the individual components of care can't do
17 at this time, but maybe in an ACO world they will if we wait
18 a little bit. But right now, don't expect them to do that,
19 because we're just not ready for it.

20 So, if there was a way to display it that way and
21 -- I don't know, that's how I would organize it in my own
22 mind.

1 DR. MARK MILLER: Can I just draw one other point
2 out, as long as Peter is on point. This comes from a
3 conversation that we had on the phone and then a couple of
4 comments that have occurred here.

5 So, when you think about the notion that we're
6 headed -- I think it was your comment somewhere along in
7 here -- perhaps to more EMRs, the notion that some of these
8 things depend more on medical records rather than claims,
9 let's build for the future, not necessarily the past, those
10 types of things -- all things considered, Arnie.

11 Then, you have this new HIT money that has kind of
12 come into the process. And one wonders whether there's a
13 leveraging there that would warrant comment here and perhaps
14 elsewhere, but if we're trying to build something here for
15 the future and we have some money at the moment that is
16 directed towards the future -- Peter, this is stuff that
17 you've brought up in conversations that we've had over the
18 phone. And I don't expect people necessarily to react on
19 point but listening to some of the - are we kind of working
20 with what we have or are we thinking about what we could
21 have if there's some leveraging there. There's still that
22 big piece of the HIT money which is -- I always forget each

1 time -- meaningful use, which is still to be defined, and
2 maybe there's an opportunity here to define what that might
3 be.

4 MR. BERTKO: Glenn, I'm not going to throw my
5 hands up on this. What I was going to say was maybe
6 agreeing partly with Jay, having a starter set that we use.
7 And Mark used the word "path." I had that written down
8 earlier of where we get to. And I can see adding in at some
9 point, even before HIT, lab values. A lot of people are
10 using statewide reference labs which are now reporting back
11 to health plans, and no reason that CMS wouldn't pull in
12 that kind of data, too, as well as the Part D data which is,
13 I think, very useful.

14 The comparison I might suggest -- I mean, Jay also
15 gave a great comparison of -- was it CABG mortality? Yes,
16 that's available everywhere. But I'll go beyond that and
17 say, suppose we use the EHMS model to attribute to certain
18 places.

19 Flagstaff Medical Center owns everybody over 65,
20 and Flagstaff was not in a managed care plan. That one is
21 simple. It's more complicated in other places, but there's
22 no reason we couldn't use that and get what I would hope

1 would be pretty good results and pretty good comparisons,
2 and I think that comparison, even on the starter set, would
3 be worthwhile to people.

4 MR. HACKBARTH: Just for the record, because
5 Arnie's coming up here, I'm not ready to throw up my hands,
6 either. And I think it might be possible to do some initial
7 steps that could be constructive, but I also am sensitive to
8 what Bruce said about resources. If we lived in a world
9 where CMS was rolling in resources as well as healthcare
10 providers and didn't have a lot of other things on its
11 plate, it might do one thing, but the tradeoffs look very
12 different in a resource-constrained world.

13 Just one constructive thought, or hopefully
14 constructive thought about this, I'm drawn to this idea of
15 using existing data on institutional providers. Broadly
16 speaking, a plan can improve care through two mechanisms:
17 One is through network selection, and this addresses that
18 specifically. The other is through care coordination and
19 programs that sort of knit together independent providers;
20 that's what HEDIS tends to measure.

21 And so, if you could say the network selection
22 activity of the plan is doing well or doing poorly in terms

1 of quality, and then use its HEDIS measures -- maybe not to
2 make a direct fee-for-service comparison, but say it's
3 really good compared to other plans in terms of care
4 coordination and HEDIS-type activity. That could be a
5 significant step forward in terms of information for people.
6 And so, that's one type of path to crawl forward even if we
7 can't run very fast.

8 MR. GEORGE MILLER: Well, before Arnie gets in
9 I'll make a couple of brief comments.

10 I agree with Jay's comments about putting together
11 a goal. And let me see if I can just frame it in just a
12 very minor way. And again, speaking from a hospital
13 perspective and having to pull all this data together -- and
14 I appreciate, Glenn, your comment about the concern for
15 resources to pull all this together. It is important to
16 have, but one question I would have, what are we learning?
17 What are we trying to learn? Where are we trying to go?
18 And then, what can we let go of? After we learn something,
19 is there something that can come off the table? And how can
20 we improve the system?

21 It would seem to me that the quality improvement
22 measures to help us take better care of our patients and the

1 delivery of care that we have to our patients. So, that
2 should be one way to look at it, according to effort between
3 both MA and fee-for-service.

4 And then, I think it was you, Glenn, that made the
5 comment about technology and having the EMR, especially in
6 rural areas, all of us don't have that, but we do have
7 measures that we could use to improve. And again, I just
8 wanted to emphasize again we want to make sure that, with
9 the limited resources we have we're not adding an additional
10 burden.

11 DR. MILSTEIN: A couple of comments.

12 First, I think it's important to remind ourselves
13 that Congress hasn't asked us for a recommendation with
14 respect to how we should measure performance in every facet
15 of the healthcare system; they've asked us a narrower
16 question, which is, how do we go about comparing fee-for-
17 service with Medicare Advantage.

18 And if you think about that more global, analytic
19 charge, many of the concerns expressed so far having to do
20 with, well, how do we attribute to an individual doctor,
21 diminish, because that's really not particularly relevant to
22 the question we're being asked now.

1 Second comment is that I think this idea that we
2 might frame our recommendations for what they would be with
3 and without a world -- some day, EMRs are relatively
4 universal. I think that makes a lot of sense, but there's
5 also an opportunity to essentially also comment in relation
6 to a more modest set of enhancements of our health
7 information in Medicare that's far short of all doctors, or
8 95 percent of doctors, implementing EHR. And it's that
9 subset of things we've periodically commented on, and this
10 might be a great time to remind Congress about things like
11 laboratory values being appended to laboratory bills, and a
12 few of the other things that we've commented on, and we
13 could actually expand and take a look at what the National
14 Committee on Vital Health Statistics, which thought about
15 this question. Short of EMRs, what would make a big
16 difference in our ability to measure? They had some very
17 thoughtful recommendations they made five years ago, and
18 this might be a nice opportunity to point in that direction.

19 Third point is just, as we appropriately sweat
20 adequacy of measures coming out of any particular system, I
21 think it is really important to keep mindful of the lessons
22 that have come from other industries within this country and

1 from the health industry in other countries. This noting
2 that you, if you're going to make a performance judgment,
3 you have to explain to the producer of the service what
4 actionably they can do, that's not something that's honored
5 in any other industry in the United States. Nobody ever
6 said to the airline industry, listen, we're not going to
7 judge you on customer complaints unless we can also explain
8 to you what you should to correct a high-complaint level.
9 That's a standard that is just not applied in any other
10 aspect of public performance measurement.

11 And last but not least -- this is probably self-
12 evident, but there is always an inclination on the part of
13 me, and psychologists have said it's true of all people, to
14 be towards what's called status quo bias, sort of accepting
15 the status quo as pretty good and then there needing to be a
16 pretty high standard for moving beyond it. And I would say
17 the evidence suggests that the status quo, with respect to
18 our quality, one contributant to which is relatively low
19 transparency on quality -- our status quo is not very good
20 and we should not be biased toward it and be so cautious,
21 and therefore be too cautious about moving forward with
22 measures, imperfect though they may be.

1 If you talk to people in the UK who first went
2 forward with their public reporting of risk-adjusted
3 hospital mortality, there were just a million methodologists
4 that came out of the woodwork saying this is crazy, it's not
5 good enough, wait ten years. They move forward, and as a
6 result their measures on risk assessment mortality have
7 moved up much more quickly than ours have.

8 MR. HACKBARTH: Other questions or comments?

9 Okay. More on this later.

10 And last for today is medical education and its
11 relationship to delivery system reform. Whenever you're
12 ready.

13 MR. LISK: Good afternoon. Cristina and I are
14 back to discuss the chapter on Medical education in the
15 United States, supporting long-term delivery system reform.
16 Today we're going to review some additional information that
17 has been included in the chapter in response to some of the
18 questions you had at the last meeting. After we do that, we
19 will start you off on a discussion of future work you can
20 consider.

21 At the last meeting, the issue of diversity was
22 raised, and we have no included some information on this in

1 the chapter. This chart shows the distribution of medical
2 students according to family income levels expressed in
3 quintiles. And as you can see, most medical students come
4 from higher-income households. In 2005, 55 percent of
5 students came from families in the top quintile of family
6 income, as shown in the gray bars on this slide.

7 If we look at the lowest quintile, however, less
8 than 5 percent of students came from the lowest quintile
9 group, and only about 10 percent came from the lowest two
10 income quintiles.

11 As you can also see, these trends in the
12 distribution of medical students by family income have been
13 fairly consistent for the past 20 years, although the
14 portion coming from the top quintile has edged up five
15 points between 2000 and 2005.

16 Although medical students are significantly more
17 likely to come from higher-income families, many graduate
18 from medical school with sizable student debt from medical
19 school tuition and fees. In 2008, medical students reported
20 an average debt load of \$141,000, and almost a quarter
21 carried educational debt of more than \$200,000. Service on
22 this debt currently averages 9 to 12 percent of after-tax

1 income once in practice.

2 This next chart shows the racial composition of
3 the U.S. population, applicants to medical schools, and
4 medical school entrants or matriculates in 2007. The racial
5 composition of the medical schools is not representative of
6 the population at large. For instance, African Americans
7 accounted for 12 percent of the U.S. population but just 6
8 percent of students entering medical schools. Similarly,
9 Latinos and Hispanics accounted for 15 percent of the U.S.
10 population but just 7 percent of those entering. Asian
11 Americans, on the other hand, make up just 4 percent of the
12 U.S. population but account for 20 percent of entering
13 students.

14 The racial composition of medical schools,
15 however, roughly parallels the medical school applicant
16 pool; therefore, enrollment in medical school is affected
17 more by application rates than by acceptance and admission
18 rates.

19 Similarly, rural students, also generally thought
20 to be underrepresented in medical schools, have similar
21 types of issues. Women, though, now account for about half
22 of all entrants and graduates in medical school.

1 Efforts to diversify the socioeconomic and
2 demographic make-up of the physician workforce are thus
3 hampered by circumstances that affect students' eligibility
4 or decisions to apply to medical schools, such as college
5 graduation rates and financial status and debts after
6 college. Thus, if we are concerned about the demographics
7 of our physician workforce, this issue needs to be addressed
8 at an earlier stage in the pipeline before we get to
9 graduate medical education.

10 I want to next move on and discuss rules for Part
11 B billing for supervising physicians, which was also brought
12 up at the last meeting. Supervising physicians can bill for
13 services provided by residents if they meet basically three
14 criteria: They need to be physically present for the
15 critical or key portion of service, or actually perform the
16 service. They must also participate in the overall
17 management of the patient and document their presence during
18 the service, including who provided each portion of the
19 service. So just a signature on the resident's medical
20 record is insufficient for the physician to be reimbursed.
21 They need to document their participation. And as you may
22 recall from the past, there were the PATH audits --

1 physician and teaching hospital audits -- that caught many
2 hospitals not having sufficiently documented their service,
3 and they had to pay back substantial amounts of monies for
4 being in violation there. So there has been a lot more
5 focus on this.

6 Now, there are some exceptions on the present
7 rules. There are some relaxed rules for lower-level E&M
8 services in primary care centers, and there's also some
9 stricter rules for more complex procedures such as many
10 surgical procedures and stuff. And if you want me to go
11 into those, I can go into those in more details in
12 questions.

13 We have also included in the chapter a discussion
14 of the economic costs and benefits of participating and
15 teaching activities by hospitals and physicians. This
16 discussion was summarized very well by Peter at the last
17 meeting. Here on this slide we list some of the economic
18 costs and benefits. In terms of costs, you have, of course,
19 the compensation for residents and the faculty. You have
20 program overhead expenses for running the program. You have
21 the facility infrastructure costs. Because of having
22 residents, it may mean more office space and things like

1 that and a more complex medical library.

2 There is also the natural inefficiencies
3 associated with the teaching process that takes place in
4 terms of residents ordering more services, for instance, and
5 additional documentation that may need to occur. And also,
6 teaching hospitals often and being associated with academic
7 practices may attract a more complex mix of patients.

8 On the benefits side, hospitals will receive
9 Medicare direct and indirect GME and IME payments.
10 Residents also provide labor for the hospital or the
11 practice, sometimes at lower costs, providing potentially
12 more timely service delivery of certain services and on-call
13 coverage.

14 There is also the prestige associated with being
15 associated with teaching that may lead to higher patient
16 volume and other benefits.

17 Another benefit is allowing physicians to keep
18 current on research, the latest research and technologies
19 because of being associated with these practices and
20 training residents, and also the ability for physician
21 recruitment. You have physicians that are in an apprentice
22 type role, and you get to see them and observe them, and

1 that potentially has an advantage in terms of potential for
2 future recruitment, both for the hospital and for a
3 physician practice.

4 With that, Cristina will continue on.

5 MS. BOCCUTTI: So from our discussion last month,
6 we heard a consensus for us to move forward on our analysis
7 of policy options to increase residency experience in non-
8 hospital settings for certain specialties. So these
9 approaches could include the first three bullets that we
10 have on the slide. I'm sure that there's more, and we'd be
11 happy to hear them. But for the sake of example, I'll just
12 mention these three. So we'll look at relevant regulations
13 and draw attention to any unnecessary regulatory barriers.
14 For example, we can focus on the distinction in training
15 between didactic and hands-on care for the purposes of
16 direct GME payments. We can also examine ways to reduce the
17 substantial financial disincentives that teaching hospitals
18 face for residency training outside the hospital. So these
19 would include the disincentives of the labor costs and the
20 loss of GME revenue that they are getting when the residents
21 train outside the hospital. We'll also assess the approach
22 of establishing requirements for non-hospital training to

1 obtain direct and indirect GME.

2 Next on this slide you'll see -- you'll recall
3 that the results from our RAND study showed many lapses in
4 residency training on topics that are important for delivery
5 system reform, such as multidisciplinary experience and
6 quality measurement. So, again, I've listed three possible
7 approaches we could look at for addressing this issue in
8 future work, and, again, you can mention more.

9 We can analyze mechanisms to encourage accrediting
10 organizations to focus more attention on specific items in
11 their auditing process, and this can include continuing
12 medical education, which many people brought up at the last
13 meeting that physicians are life-long learners, so we could
14 be looking at those objectives as well.

15 We can also look into ways that GME funding can be
16 used to support research on best practices in training -- in
17 other words, investing in training the trainer. And we can
18 also examine requirements or financial incentives for
19 sponsoring institutions, such as teaching hospitals, to
20 ensure their residency programs include specific criteria.
21 These could either be a condition of funding or a means for
22 increasing or decreasing funding.

1 On this last slide, we've developed three main
2 questions for discussion, and hearing your comments on this
3 will help us move forward with additional work.

4 First, it seems that an important feature of
5 medical education funding should be that it be distributed
6 equitably and efficiently. And since Medicare is the
7 largest contributor to graduate medical education, teaching
8 hospitals with lower shares of Medicare caseloads receive
9 proportionately less funding, and this occurs more often in
10 low-income communities, as Nancy pointed out in the last
11 meeting.

12 So how should all payers contribute? And what
13 mechanisms should determine fund distribution? For example,
14 some expert panels have suggested trust funds and
15 independent boards for determining the allocation of
16 graduate medical education funds.

17 Second, we could also focus our thinking on
18 linking education subsidies to actually delivery system
19 reforms. For institutional incentives, the teaching
20 settings would be the leaders in delivery reform. So, for
21 example, teaching hospitals with certain infrastructure,
22 such as comprehensive health IT, could garner more favorable

1 medical education payments. And as Peter has mentioned, it
2 is important here that the health IT be actively used rather
3 than just purchased, and we can talk about more details on
4 that. But I think we did a little bit in the last session.

5 Institutions can also be leaders in payment policy
6 reforms, so, for example, teaching hospitals that agree to
7 bundle Parts A and B payments could receive higher GME and
8 IME payments. And here residents would learn the skills
9 needed for delivery system reform by working in settings
10 that actually do them.

11 We can also draw from our previous work on
12 curricula and examine requirements and incentives regarding
13 delivery system reforms. Here, in addition to items such as
14 formal multidisciplinary care, we can also include
15 incentives for training in the basics of geriatric care
16 across all specialties to address the aging of the patient
17 population.

18 Moving to the third question there, the Commission
19 may also examine ways for medical education subsidies to
20 help generate the most efficient mix of generalists and
21 subspecialists. And by generalists, I mean primary care
22 physicians and also general surgeons. Payment policies

1 around the number and type of residency slots that Medicare
2 subsidizes could be a tool for balancing these specialties.

3 Adequate nursing and nurse training is also
4 important for successful delivery system reform as many of
5 their skills and care coordination are essential.

6 Some of the demographic information that Craig
7 presented showed the importance of even attracting lower-
8 income and minority students into the field of medicine.
9 Loan forgiveness programs and other strategies to encourage
10 applications to medical school could be important to
11 increase the economic, racial, and geographic diversity of
12 health professionals.

13 Finally, to improve patient access to care, all
14 physicians could be required to conduct minimal public
15 service in exchange for the subsidies that Medicare paid for
16 on their behalf. For example, physicians could be required
17 to provide occasional on-call services. Having an adequate
18 panel of local physicians on call is a crucial component of
19 our nation's health care, yet in recent years, fewer
20 physicians are even agreeing to take call.

21 So these are some of the topics that we really
22 look forward to your discussion at the end of the day, but

1 I'm sure it will be a good one.

2 MR. HACKBARTH: Thank you. Okay. First round,
3 clarifying questions.

4 MR. GEORGE MILLER: Yes, on your last comment, I'm
5 just curious. How do you propose that the social benefit
6 for the subsidy of their residency program would work? Can
7 you give me some examples of how that would work or you
8 suggest it would work?

9 MS. BOCCUTI: Are you saying with the third
10 bullet?

11 MR. GEORGE MILLER: The third bullet about that,
12 because --

13 MS. BOCCUTI: We need to talk about this and work
14 through those ideas. I think what you're getting to is how
15 the money would go to the hospital and then ensure that the
16 physician -- this is after the residency program --

17 MR. GEORGE MILLER: Right, right.

18 MS. BOCCUTI: -- fulfilled this public service.
19 Isn't that what you're talking about?

20 MR. GEORGE MILLER: Yes.

21 MS. BOCCUTI: We need to go through those ideas.
22 We're aware that it's going to take some logistical issues,

1 but I don't know that that should stop the examination of
2 that option.

3 MR. GEORGE MILLER: And just to tease that out a
4 little, if I'm in rural West Texas, in Fort Stockton, Texas,
5 I would have the same -- an equal opportunity to get a
6 physician who did his or her residency training in Chicago.
7 Is that --

8 MS. BOCCUTI: Well, maybe we'll talk about that as
9 future work comes forward, because we need to think where
10 the people would come from and what they would be doing. I
11 think these are great issues to bring up when we talk about
12 that.

13 MS. HANSEN: Yes, just a request perhaps more for
14 context. I think the last time we met, I learned that
15 within GME there actually is funding dedicated for hospitals
16 who train nurses. There are not that many left, but I just
17 wondered if a background piece could be included as part of
18 this GME piece.

19 MS. BOCCUTI: Sure, we'll put a little more
20 information about that. And we're talking not just about
21 what exists but maybe what could be expanded as well.

22 MS. HANSEN: Yes, that was going to be part two,

1 but yes, definitely. Thank you.

2 MR. BERTKO: I think I'm asking about the first of
3 the three bullets on the last page under -- well, it's the
4 residency subsidies one. I have a couple of things in my
5 head, but I was curious what you thought was included in
6 that. Does it include something to generate a larger number
7 of generalists, or is it more confined than that?

8 MS. BOCCUTI: I think we need to talk about that.

9 [Laughter.]

10 MR. BERTKO: I've heard those words --

11 MS. BOCCUTI: I'm not going to answer this right
12 now, but I think there are two ways that one could go. You
13 know, there is the number of slots that you're talking
14 about, and there could be a redistribution within the
15 current number. So we could talk about that and what's paid
16 for, you know, what parts of that is paid for. And then the
17 other idea would be if there were just simply increases,
18 say, for primary care, and that's where you're going. So
19 this is a discussion that we can have.

20 MR. MARK MILLER: For myself, the way we have been
21 thinking about this is, given the slots, do we want to
22 discuss redistribution and how we support them. And then it

1 becomes the next question of how many slots.

2 DR. CASTELLANOS: Thank you, and good job. I had
3 a unique experience last night. One of my partner's son is
4 here today. He's a medical student. And we were talking a
5 lot about medical school and the education they're getting
6 towards a lot of these concepts. Then I had the opportunity
7 to talk to Karen Fisher from the AAMC, and one of the things
8 I noticed in our report and presentation today has been an
9 absence of any discussion on the student education in
10 medical school, and these concepts of delivery system reform
11 need to be imprinted in their basic educational process.

12 Somehow these concepts, we are really missing, I
13 think, an opportunity to try to change this culture -- and
14 that's what we're really trying to change, this cultural
15 approach.

16 So I guess my clarification question is: What
17 levers of anything we have to make this happen in the
18 medical school itself? Do we have any levers that we can
19 use to make these things happen?

20 MS. BOCCUTI: Well, I'll say something about it.
21 I'm sure Craig might want to come in, too. Two things.

22 One, we have looked a little at what is being

1 taught in medical schools, and the RAND researchers did look
2 into that to some degree. For reasons of space and, you
3 know, keeping the chapter at the length that it is, we
4 focused more on the graduate medical education component
5 primarily because that is where the levers are right now.
6 But I don't think that means that the Commission needs to
7 restrict itself to that when they're thinking about the
8 whole medical education process. But it is where Medicare
9 plays the biggest role right now.

10 So I think that we'll continue to try and talk
11 about medical school, and we talked about it with the PATH
12 to becoming a physician and what's required there and what
13 accrediting components are there, and also the importance of
14 applications and what's going on with medical school.

15 So I think we addressed it to some extent, but we
16 need to determine what levers that we as taxpayers, you
17 know, have with this in the sense that this is the health
18 care for the United States, and Medicare is training a lot
19 of those professionals.

20 MR. LISK: There is some course work that goes on
21 in that aspect of things in medical schools, but the details
22 behind it -- and we were trying to get at that -- are not as

1 certain and potentially inconsistent. And sometimes it's
2 mandatory in some schools; sometimes it's optional in some
3 schools, too.

4 DR. CASTELLANOS: You're are absolutely right. It
5 is happening. But is there any way that we can encourage
6 this, if nothing else, in our chapter on this?

7 MR. HACKBARTH: I very much agree with the premise
8 of your statement, Ron. Being a non-physician who hangs
9 around with a fair number of physicians, it sounds right to
10 me that there's a certain imprinting that goes on very early
11 in the educational process. And Tom has talked about how
12 even in the selection for medical school, important
13 statements are being made, in effect. So I agree with that.

14 I personally am struggling, though, where we ought
15 to draw the line on what we can contribute to this very big
16 and very complicated topic. I sort of think of the
17 discussion we had earlier on biologics. You know, clearly,
18 a pathway for follow-on biologics has huge implications for
19 the Medicare program and the health care system more
20 generally. But it seems to me that it is a complicated
21 issue that's outside of our normal purview in the things
22 that we study and have some reasonable competence about, and

1 I'm always worried about reaching way beyond the familiar to
2 say, oh, we ought to change this, we ought to change that.
3 I fear that we run the risk of appearing as dilettantes.
4 There are a lot of people who spend a lot of time on these
5 issues, and we are going to spend, you know, a few hours
6 talking about them.

7 So I don't know exactly where that line ought to
8 be that's within -- or at least reasonably close to our
9 distinctive area of competence, but I think we need to be
10 careful about just drawing this circle ever bigger and
11 making it ever more inclusive.

12 DR. CHERNEW: I actually second the idea of having
13 that point, which I agree with, outside of the circle of
14 this report, and the reason I say that is I actually teach a
15 class like that to the medical students, and the problem is
16 that -- and I think it's a very good class, I should say.

17 [Laughter.]

18 DR. CHERNEW: I should say I teach in the class.
19 It's absolutely not my class. It's Haiden Huskamp's class,
20 largely, and she does a tremendous job. But a lot of these
21 issues come up. But I will say, after having, you know, a
22 semester of going through many of these issues, I'm not sure

1 anyone, any of the students' culture is changed because,
2 honestly, no matter what you say to them, they tend to
3 think, you know, endocrinology, or whatever the exam happens
4 to be. And so I think your point is very well taken, but I
5 think it is well beyond the type of things we can influence
6 with these levers because I think it -- that might change if
7 we change some of these levers. But to try and go at that
8 directly I think is really very, very hard because -- I
9 think someone said this when they were here before. They're
10 all going after their boards, and as long as that's what
11 they're going after, we have to worry about how to change
12 the bigger picture and stick in there instead of getting to
13 that micro level.

14 DR. DEAN: Just to respond to a couple, I agree
15 completely with what Mike just said, that it has come up in
16 my discussions with medical educators. We were talking
17 about the whole issue of teaching professionalism, and I
18 said, sure, you can have lots of lectures about it, but
19 really it's determined by what people observe in their day-
20 to-day experiences, and it only has meaning once they sort
21 of get into practice and have to make some of those tough
22 decisions. That's when you need to have the opportunity to

1 discuss some of these issues and have access to that sort of
2 expertise.

3 I certainly am sympathetic, Glenn, with your
4 concerns, but on the other side of it, we have set some
5 goals and set some ideas, at least, of where we would like
6 to see the system go. And if we can't get there without
7 some of these changes, then it does, I think, become a real
8 concern.

9 So it is a real tension, I agree. This is sort of
10 outside of our realm, and yet unless we see some changes in
11 this education system, we can't get to where we want to go.
12 So, I mean, just in response to what you say. I guess I
13 have one other thing, and I'll shut up --
14 realm

15 MR. HACKBARTH: Just on that narrow point, though,
16 I agree that there is a long way to go, and all of these
17 things that we have briefly mentioned are important,
18 potentially important factors in shaping the health care
19 delivery system of the future.

20 I would say, however, though, we shouldn't think
21 that we're the only ones who have made that observation and
22 had that insight. You know, the tiny little window on the

1 world that I have is through the work I do with the ABIM,
2 and I hear a lot of the same themes and how, you know, the
3 process of specialty certification and maintenance of
4 certification needs to be improved so that, for example,
5 there's a greater focus on systems-based practice and
6 improvement and a lot of the themes that came up in this
7 chapter. I feel way better than talking about how to do
8 that and making constructive suggestions than about my
9 ability to do it. So we have allies in this fight. We're
10 not the only ones pulling levers.

11 DR. DEAN: The other comment that I would make is
12 the other response that I've certainly gotten in talking
13 about some of these issues is just what Mike brought up,
14 too, that especially the curriculum, especially in the first
15 two years, is just totally dominated by what's on the
16 national boards. And they are such a powerful force that
17 the faculty tell me that their hands are tied. They would
18 like to introduce some of these issues, but they say, "We
19 are so obligated to make sure our students do well on that
20 test that we have no choice."

21 Now, I don't know. Maybe they're overstating it,
22 but I have gotten that from faculty in several different

1 schools, so I don't think it's just ones -- actually, the
2 original question I had, Slide 3, I had seen data that the
3 percentage of students from high-income households had
4 actually increased significantly over the last decade or so.
5 I don't know. I'll have to go back and see where I got that
6 data because this is AAMC. I assume they should know. But
7 I had certainly seen some data that said that that had
8 increased quite a lot. So I don't know.

9 MR. LISK: Well, if you look at the top two
10 quintiles and you look at that orange bar, there's quite a
11 bit of drop in that orange bar from 1999 to 2005, for
12 instance. So there has been an increase in upper-income
13 families, students coming from upper-income families.

14 MR. HACKBARTH: We have to get on to round two
15 since we are already sort of lapsing into it.

16 DR. BORMAN: Yes, I'm going to structure these
17 comments to try and come at your questions, which I think
18 was the purpose here. But I'm going to ask your indulgence
19 and go in from the bottom up, if we could, because I think -
20 - for a lot of reasons.

21 Number one, I think the bottom question perhaps is
22 the one that drives most of the others, because I'm not sure

1 that we've come to a conclusion about what it is that are
2 the professionals we need. And I think by that, I think
3 this discussion, because it tees off of GME, certainly
4 focuses on the physician. But I think that we all could
5 agree that we're looking for the most efficient provider
6 level for various services, and I think we tend to default a
7 little bit to a notion that the overwhelming majority of
8 this is being provided by physicians. I think we need to --
9 I personally would like us to be a little bit careful about
10 the terms "primary care" versus "primary care physician
11 specialist," because I think that not all primary care
12 requires a physician to deliver. It requires very high
13 level primary care physician skill to do certain things
14 about primary care and to manage a team of extenders or mid-
15 level providers or whatever you want to call it. But I
16 think that we really need to be a little bit careful about
17 that, and I'm not sure that at all times we are.

18 So I'm not sure that we've defined that workforce,
19 and it may, Glenn, be one of those issues, frankly, that is
20 ultimately somewhat beyond our purview. Just how far we go
21 down this road -- and in all fairness, so you don't think
22 I'm just picking on primary care, I would agree that there

1 are some procedures that surgeons provide that every day are
2 provided by other than surgeons, and particularly more in
3 the minor procedure category, can be very credibly provided.
4 And we need to identify those as well, but I just want to
5 sort of de-link this notion maybe of physician and, you
6 know, the professionals we need, that this is a broader
7 conversation. We can't fix everything through manipulating
8 GME, and, again, I think that is in part what I hear from
9 you.

10 In terms of the part about loans and subsidies, I
11 would say that there are great things to think about. I
12 personally think they apply to way beyond physicians. There
13 are people that struggle to go to nursing school, PA school,
14 respiratory therapy school, da, da, da. And in terms of
15 supporting a workforce, we should make opportunities for all
16 those providers, again, trying to get to that mix, whatever
17 that mix appropriately is.

18 Just a comment about public service. I think that
19 is certainly a very rational road to go down, to explore,
20 given the extent of the taxpayer commitment to this not just
21 through the Medicare program, the Medicaid program, the NIH,
22 the state contributions. I mean, they're just too numerous

1 to count. And I think it is a very reasonable exploration,
2 and I would say that many residents certainly seek out
3 opportunities for international rotations, and I can tell
4 you that the Residency Review Committee for surgery, which
5 is part of the ACGME, is certainly having active
6 conversation about this, because there are so many
7 applications from residencies to send residents for
8 international experiences. Well, one might think if they're
9 interested in international service experiences, maybe we
10 can interest them in domestic service experiences, or at
11 least say, you know, from whence the money comes links to
12 where the service might be provided. And I think we may
13 have to have a bit of that conversation.

14 The other piece embedded in the chapter is the
15 part about the overlap, at least in the organizations that
16 appoint to the accrediting bodies and so forth. And I would
17 just like to say while I recognize that there is overlap to
18 a significant degree, I would suggest that the actual
19 appointees tend to be fairly diverse. And so it's not quite
20 as incestuous as maybe seems to be implied by the chart that
21 is in the chapter. And I would want to be a little bit
22 careful from getting too far from the level of expertise,

1 and perhaps part of the answer is to increase the public
2 representation, but I am not sure it's to impose a different
3 level or to undo that whole appointment system.

4 With regards to linking to GME, I think this is
5 the most rational direction we can go down, particularly the
6 institutional incentive side I think is the most natural
7 place for this to go down, because it fits best with the
8 system that we have right now. It gives us more of an
9 immediate starting point. The money goes to the teaching
10 hospitals. It's rational to start moving through that
11 process while we work on answering what's the mix and
12 deciding the other things we need to do. And so to do this
13 in a way that can be more immediately productive.

14 I have a lot of disquiet about curricular
15 incentives. Medicine is a moving target. The world in
16 which I practice is very different from the world in which I
17 trained just in diseases. Peptic ulcer disease, for an
18 example, has largely gone away as something we operate on
19 other than acute perforations because of drugs. The same
20 things ultimately will happen to other diseases. We need to
21 be very careful about meddling with curriculum, and I think,
22 Glenn -- and maybe you've inferred that -- there are some

1 pieces of this we do need to leave to the experts.

2 In order to answer some things Jennie has brought
3 up, I hope you will be encouraged, at least it's my
4 observation that we do an increasingly better job of
5 addressing geriatric issues throughout medical school and
6 residency. And, frankly, that's the way we need to do it as
7 embedded throughout and not some little focused touchdown
8 that you check a box and then answer a couple of questions
9 on the test. So that's that.

10 The top one, I personally think the board just
11 muddies the water even further, and, again, I would like to
12 see us think about something better in the way of public
13 representation to some of the other parts that govern the
14 system rather than some super board that just gets caught up
15 in a lot of rehash and perhaps is not empowered to do
16 anything differently.

17 If I could just mention on the sites of education,
18 I don't think you've implied this, but I'd want to be very
19 careful that it's not what people infer. While certainly
20 most people do not spend 100 percent of their post-residency
21 practice lives in the hospital, I'd be very careful about
22 any implication that it should be a one-on-one relationship;

1 that is, if 80 percent of your practice is outside the
2 hospital, that 80 percent of your education should be
3 accomplished in non-hospital settings, and that's for a
4 couple of reasons.

5 Number one, in exposure per minute to diseases,
6 it's going to be greater in the hospital setting, so there
7 is an educational efficiency to that. It may not meet the
8 entire spectrum, but you see a lot more things more quickly
9 in a hospital setting. So the notion that if we transformer
10 it to 80/20 the other way, we will have people who don't
11 know enough about an awful lot of things. So we want to be
12 careful about not implying that that should be the standard.

13 On the other hand, we should remove regulatory
14 barriers, and we should get away from this very artificial
15 language of didactic and hands-on. Residency by definition
16 is experiential. It needs to be graded responsibility
17 experiential, and we just need to be careful about that.

18 DR. DEAN: A couple things. First of all, just
19 quickly, there was a comment in the chapter, something to
20 the effect that people with bigger debts were more likely to
21 go into specialties that had loan forgiveness or something.
22 And I really, I guess, think we need to look at that a

1 little more carefully because I think, in fact, a lot of the
2 places where loan forgiveness is available is primary care
3 in underserved areas, and as debts have gone up, recruitment
4 in those areas has gone down. So I think I have a problem
5 with that statement. It needs to be looked at.

6 Secondly, in a broad sense -- and I agree with
7 much of what Karen said -- I think we need to be careful
8 about getting too specific with some of these things. The
9 thing that bothers me about the public support for GME is
10 there is no connection between what the needs of the public
11 are and where the money is going. And I don't exactly know
12 how to do this, but I think we need to try to look for some
13 way that we can have sort of a self-correcting system that
14 when a need evolves, there is support for a program to meet
15 it rather than specifying -- you know, I'm all in favor of
16 more support for primary care, obviously. But there's also
17 other gaps in the system, as I think Karen has spoken to,
18 that we need to meet. And we've got to be careful we don't
19 lock ourselves into a specific structure because, as she
20 just said, this is a changing field, and the needs are going
21 to change, and we need to try to develop some kind of a
22 responsive system that gets the resources to where the needs

1 are. That may be tricky. I don't have an answer on how to
2 do that.

3 I guess I would quibble a little bit with your
4 last comment.

5 DR. BORMAN: I would be disappointed if you
6 didn't.

7 DR. DEAN: I think, yes, there are a lot of things
8 to be learned in the hospital, but there is also a large
9 body of knowledge, especially with the spectrum of disease
10 we deal with now, that simply can't be learned in the
11 hospital. The management of chronic disease is never going
12 to be learned in the hospital. And, in fact, some of the
13 things you learn in the hospital almost work against that.
14 So I think as far as the time breakdown, I wouldn't argue
15 with that, but there are --

16 DR. BORMAN: It's just not one of them.

17 DR. DEAN: Yes, I can accept that. But there are
18 some things that you just will never learn in the hospital
19 that needs to be done.

20 MR. BUTLER: Of course, I've given this a lot of
21 thought -- which could be dangerous because most of the
22 thinking is just on my own. But at the risk of getting out

1 there and making some fairly strong recommendations, I'll
2 try. And I will come back and say to me it's all about the
3 middle part of the chart there. You know, these other
4 things are great, they're important, but we spent \$9 billion
5 a year between GME and IME, and I don't want to go through
6 three or six years at MedPAC quibbling over whether it is
7 4.5 or 5.0 or 3.0. We can do better than that. We can help
8 articulate what a good GME setting and a good GME
9 environment ought to be, and I think we can move the ball
10 forward. So that's kind of a little bit of the punchline.
11 Let me describe how I think I'd start to do that.

12 First, in terms of the chapter itself, to get my
13 negative comment out of the way, this last one, just the
14 last one, the minimal public service, that one just doesn't
15 make -- I know you said we can clarify and discuss. I just
16 don't think that that one is going to work and not worth
17 study. All of the other ones, if we want to at least leave
18 them in the chapter for now as potential study, I think
19 they're okay and understandable. But I'd make an argument
20 that that one come out of the chapter at this point until we
21 know it better. A small point.

22 I made some comments last month, and you did a

1 terrific job accommodating them, so let me comment on a
2 couple of them. The title itself, where you said "Medical
3 education - Supporting long-term delivery system reform," is
4 much better, especially because you got the long term.
5 We're training and looking at creating lifelong successful
6 people, not trying to respond to this year's medical home or
7 payment methodology that will change next year and we're
8 going to whip dollars all over the place as a result of this
9 year's thoughts. So I think you helped capture that in the
10 title, and that's important.

11 Secondly, you continue and you did a good job of
12 still saying addressing the regulatory barriers, and I think
13 that is a contribution we can make sooner rather than later
14 to CMS, as soon as next year, I think.

15 And, third, you lifted out and highlighted the
16 ACGME competencies, which, if anybody had read those, you
17 can even read like the sixth one, a system-based practice.
18 If you read that language, it says coordinate care, it says
19 quality and safety and cost -- we couldn't even write it
20 better. The question is: Is the ACGME kind of pass-fail
21 system strong enough, or whatever, to make sure that those
22 things are happening? But the language in the accreditation

1 now isn't that far off of what we want. It's a question of
2 -- you know, now the question is: How do we make that kind
3 of happen more? Do you use some of the financial incentives
4 or some of the mechanism to go right after the program
5 itself? Or do you sit it in the institutional setting where
6 the dollars are going now? And I think it is the latter,
7 because I don't think we're going to send money suddenly
8 strictly to programs. We're going to send them to
9 institutional settings. And that kind of gets at the heart
10 of this second bullet point.

11 At the risk of using "meaningful user" as a
12 concept, it's such a -- but you can envision, I think, in
13 let me say a negative setting, if you were at a medical
14 school or a residency program and you say, boy, this
15 hospital calls you and they say, you know, we really could
16 use some coverage, do you have any residents, you know,
17 we'll pay them and we'll get the IME, and you go into an
18 environment, there's no IT, there's no coordination of care,
19 there's just a focus on acute care episodes. You can
20 imagine -- paint as bad a picture as you want. Would you
21 really want to send your Medicare GME and IME dollars in
22 that kind of setting because you're not going to -- no. So

1 that's a negative of saying it. But how would you begin to
2 say what would be a progressive or a meaningful user? What
3 would that environment look like? And short of trying to
4 measure it today, what are the characteristics that would
5 say if you go into that setting in that environment with the
6 competencies in mind, you know you're going to get something
7 out the other end that is going to perform at an extremely
8 high level.

9 So if we could begin to articulate what that
10 environment would look like and then eventually or at some
11 point you take those IME dollars in particular and you say
12 flex them up, flex them down, whatever the support is, you
13 could potentially differentiate them out that you're paying
14 on, depending on the environment that these residents are
15 going into. And I think that general direction creates the
16 kind of accountability that would look a lot different than
17 right now you send -- it doesn't matter what the setting is,
18 what they're doing, and that's just not good enough.

19 DR. KANE: First, I agree with everything Peter
20 said, and also, just a couple things that, as I read the
21 chapter, came to mind, which was -- one is the role of FMGs,
22 and one of the things I notice is that they seem to be

1 filling all the geriatrician slots and a lot of the primary
2 care slots. And I'm wondering if there isn't some way to
3 evaluate whether that's the most efficient way to produce a
4 primary care capacity in this country, or whether we want to
5 think about Medicare supporting, if it isn't the FMG - if
6 American medical students don't want to go into primary care
7 or geriatrics, perhaps there's another level of people, U.S.
8 or foreign, that want to go through training. I'm thinking,
9 you know, physician extenders, nurse practitioners. A lot
10 of the people from the military who learned to become medics
11 often want to come back and be effective in the domestic
12 side. Should we be looking for ways to expand our primary
13 care capacity? And what are the implications that so much
14 of it is currently being filled by FMGs? I don't have a
15 good sense of that, except that I do notice that in the
16 classes that I do that are like Mike's, the training at the
17 medical school level is about 30 years behind ours in terms
18 of acceptance of IT or oversight or accountability or team -
19 - I mean, maybe not all of them, but some of them are. And
20 so I just wonder if there's anybody thinking about what does
21 it cost to untrain and retrain an FMG into this capacity.
22 And would it be, you know, maybe to try to just start people

1 into the primary care capacity at a different level and try
2 to expand that capacity?

3 The only other thing I thought of is that -- I'm
4 the one who's into the lifelong learning thing. Is there a
5 way to bring into the P4P for physicians that they've
6 covered certain kind of CME topics, that they've done things
7 around care coordination or cost/benefit, you know, that
8 they've achieved those and therefore they get a blip-up? As
9 long as we're on a P4P kind of mentality, is there a way to
10 bring in what kind of CME they -- or whether they hit
11 certain types of CME that relate to the kind of goals that
12 we have for the Medicare program?

13 DR. CASTELLANOS: Two points. Nancy, just to
14 emphasize your point about the international medical
15 graduate, 25 percent of the primary care people in Florida
16 are international medical graduates.

17 Talking about the medical education subsidies to
18 produce the professions we need, again, I'm going to talk a
19 little bit about workforce. I think the Commission has done
20 a great job with primary care. We have certainly emphasized
21 a higher pay rate, pay scale. We've tried to boost the
22 reputation, put them in more of a substantial role, and get

1 that primary care doctor to have more intellectual stimuli.
2 So I think we've done a lot of things for primary care. And
3 I think there are some other issues on workforce, and Karen
4 may want to comment, but general surgery has a real low
5 role.

6 You know, one of the ways we can at least try to
7 solve this is by the caps, and perhaps we could even
8 consider designating certain specialties, not all, on a
9 trial basis to see if that works to fill some of these
10 critical shortage areas.

11 DR. CROSSON: Thanks. I think my comments are
12 rather similar to Peter's in this case. I think just based
13 on what was presented and based on the discussion, I have a
14 sense that we're at risk of overreaching a bit in this
15 particular charge that we have.

16 I agree with the framing, as Peter did, that
17 talking about, you know, how Medicare might use its leverage
18 to improve the education, postgraduate education, anyway, of
19 physicians to promote more effective delivery of care over
20 time is probably the most comfortable framing. There are
21 some broader framings in that that are possible, but that's
22 the one that appeals to me also.

1 So, within that and at the risk of being
2 minimalist here, I think, again, the issue of the apparent
3 growing inadequacy of the physician manpower and primary
4 care is certainly one. And then also the fact that we've
5 noted during the discussion that residents often come out of
6 training without any real knowledge about how to practice
7 medicine in the office, and particularly how to practice
8 medicine in a judicious and responsible manner with respect
9 to the cost implications of practice patterns.

10 So, again, and I apologize for being reductionist,
11 if that's what it is, but it seems to me that among all the
12 things that we have to look at here, this issue of trying to
13 move over time to support the separation of a significant
14 portion of training from the in-hospital experience makes a
15 lot of sense. It doesn't solve all problems. I think there
16 are problems, having done it myself, obviously, with
17 hospital training only and that it's limited in scope. It's
18 not where most care is practiced anymore, and that's
19 literally changed in the 30-some-odd years since I was in
20 training.

21 There's an expensive bias to having training
22 predominantly in the hospital setting compared with the way

1 other forms of medicine are practiced in offices and other
2 kinds of group practice settings and the like. And the peer
3 experience in the hospital setting is somewhat narrow. I
4 think others have commented on this.

5 So whatever we could do, whether it is trying to
6 act to remove barriers to support training programs being
7 more in the non-hospital setting or, in fact, creating
8 incentives, that is the area where I think we can get --
9 it's the closest to our charge, in my mind, and it's the
10 area where I think we can get the most impact.

11 MS. HANSEN: Thank you. I'll mention four points,
12 and some it has been covered. And, Cristina, when we talked
13 about the graduate hospital nursing role, in many ways I
14 think it has been addressed by a number of the Commissioners
15 here, about thinking about that next level, which is your
16 point about advanced nurse practitioners or physician
17 assistants or post-military individuals.

18 The ability to think about what do we need -- and
19 it ties to the chronicity of care, where sites are going to
20 be, so I really would like to see that that area just be
21 discussed. And it may be also to be cross-referenced to one
22 of the recent IOM reports, "Retooling for an Aging America."

1 So I think referencing, again, where care is increasingly
2 going to be received and have that aspect.

3 The second point has to do with -- and it probably
4 falls under, Glenn, your area of other groups like the ABIM
5 having domain over this, but I think as pointed out, people
6 will learn what they're going to get tested on, and so it's
7 not just the first two years, but all the annual
8 recertification, if that is built into the testing side, not
9 just the professional societies but the actual testing for
10 competency, if it's build in there, then it would get
11 taught.

12 But one kind of complexity with that is that if
13 existing faculty haven't done this, it's really tough for
14 students to be learning it. So something has to be done,
15 and perhaps ABIM is working on that: How do you prepare
16 faculty? And this is the same issue in nursing faculty,
17 trying to have them focus a little bit more on geriatrics.
18 Many people don't have that. So that's kind of a cross
19 issue.

20 Then the final point, just to pick up on Jay's
21 last point where having money flow to the sites where people
22 are going to be practicing outside of the hospital, I think

1 this has been always a tension, just because the requirement
2 for the GME and IME kind of going into the institutional
3 hospital setting. But if practice is going to go elsewhere,
4 there are many sites, whether they're the FQHC clinics where
5 people are practicing or other outpatient types of settings
6 where people are getting their chronic care. I know when I
7 operated the PACE Project, we had residents come through.
8 The whole question is, you know, were we able to get some
9 bit of funding to offset our physicians who were doing the
10 training. And, of course, at that point the answer was no.
11 But it just seems like some kind of shift on that area has
12 to be considered.

13 Thank you.

14 DR. MILSTEIN: I'll be very brief.

15 First of all, this is a point that a number of
16 people have made. I'll just try to make it more explicitly,
17 and that is, there are a number of potential problems with
18 current medical education, and our challenge is to figure
19 out what tools within the jurisdiction of the Medicare
20 program are a fit for those problems. On primary care,
21 specialty care mix, you know, this has been pointed out by
22 others, but the tool that is the best fit to that is

1 Medicare physician reimbursement. It is not beginning to
2 dictate who comes in and out, you know, who goes into
3 programs or forgiveness or anything like that.

4 The second comment, the second potential objective
5 is this issue of improving the equity of slot allocation,
6 and that is a tough one to solve with Medicare reimbursement
7 policy because the inflow so much depends on who gets into
8 medical schools, and Medicare has no grip point, you know,
9 on that. So I support that social objective. I just can't
10 figure out how to use Medicare policy to effect it.

11 Then the third is this educational content
12 dilemma, and I certainly agree with Karen that the federal
13 government trying to dictate, track, and manage curriculum
14 content would be very tough. But I think there are some
15 interesting tools within the purview of the Medicare program
16 that we could use. For example, the American Board of
17 Medical Specialties and maybe their member boards are very
18 anxious to have their board certification process be deemed
19 equivalent to PQRI participation, and so that is, you know,
20 a terrific level, I think, because it's something that the
21 boards want. And we have something in turn we want from the
22 boards. And my last comment on this is if I had to pick one

1 thing to focus on with them, I would go one level up, and
2 it's really -- it's the ability to manage performance that
3 is the generic -- you know, if I had to pick one thing that
4 sort if you could correct it might make the biggest
5 difference in improving all aspects of the Medicare program,
6 it is performance improvement, because that then would
7 require all the other content areas to pull geriatrics
8 because it's the Medicare population, it would pull health
9 care IT. Those are all sort of instrumental to that
10 objective. So that's at least my attempt to sort of map
11 these different shortfalls, consequent goals, and then
12 things that are actually within the jurisdiction of the
13 Medicare program.

14 MR. MARK MILLER: So in previous conversations,
15 whenever we've talked about delivery reform, a number of
16 people -- and you among them -- have said, you know, we need
17 to get to looking at GME and the education process because
18 it's part of it. And so I guess some of these -- you talked
19 about there may be different tools like the primary care --
20 it's a physician reimbursement issue. I hear that.

21 Could I just get you to say the last point again?
22 Because I tracked comments where people were saying, well,

1 focus your efforts here. It was your last point, I'm not
2 sure I got quite the connection. And it feels a little
3 different than -- you know, it almost feels like we're
4 pulling back and saying this is not really the place to get,
5 you know, delivery system change, so that also felt like a
6 little different than I'd heard from you before.

7 DR. MILSTEIN: Absolutely, and probably I'm
8 influenced by the discussion, which is appropriate. But I
9 do --

10 MR. MARK MILLER: Don't let that happen.

11 DR. MILSTEIN: But I think that maybe to have this
12 better fit with my comments before, you know, based on the
13 help from people around the table, I thought about what's
14 the best lever for changing educational content. I am
15 persuaded that probably the number one choice would be to
16 change what's on the boards that the physicians take. And
17 now I see an avenue for achieving that having to do with the
18 horse trade that, you know, the medical specialty boards are
19 now -- you know, have been on the Hill lobbying to Medicare
20 to achieve. I think it is a great opportunity for a trade
21 if the trade can be -- you know, if the deal could be struck
22 aggressively.

1 MR. MARK MILLER: And what is the trade? What are
2 you --

3 DR. MILSTEIN: The trade is rather than as an
4 alternative to participating in -- this is one of the things
5 being discussed as we speak, as an alternative to
6 participating in PQRI reporting. If a physician is
7 participating in the performance, measurement, reporting,
8 and improvement system that their specialty board is
9 delivering, A, that would be the basis for any performance
10 measures being reported to the public, if we get to that
11 point; and, B, it also could be a means of satisfying
12 whatever requirements likely to evolve from PQRI, which is
13 not just performance reporting but performance improvement.

14 I want to make one last comment, and that is, my
15 view is, in the spirit of Mark Miller, don't only offer one
16 option. This is my preferred option for how to change
17 content of medical education. But if this also turns out to
18 be very objectionable, then I have a second objectionable
19 option that I still support, which is not so much, you know,
20 letting the GME money depend on curriculum, because I think
21 that's very, very slippery to track and manage, but it's
22 what I've said before: Let it depend on whether or not the

1 faculty FTE in the teaching program include a reasonable
2 percentage of people whose primary focus is in these health
3 reform domains. That's much easier to track than curricula,
4 and it happens to personally reflect my experience in the
5 unnamed teaching hospital and medical school that I'm
6 affiliated with, which is that there's actually quite a bit
7 of support for these new content areas, until you get to the
8 point, well, you know, who do we have now within our current
9 faculty who has the expertise to teach it? And that's the
10 point at which it becomes clear to the department chairs
11 that they would have to use some of their precious FTE slots
12 to hire people with expertise in performance management and
13 other things, and that's where things break down. That's
14 why I think if you are going to focus on criteria for
15 hospitals, it should be on faculty content, not on
16 curriculum -- faculty mix, not curriculum content.

17 MR. HACKBARTH: Let me just test an idea here.
18 What I've heard from some people over on this side, I think,
19 is the idea that if you set up the payment system, used the
20 dollars that Medicare puts into training as a lever to
21 reward institutions that are leaders in innovation care
22 delivery, if those institutions are rewarded for developing

1 the tools and the skills and applying those tools, that will
2 pull along with it the whole training enterprise, and
3 they'll start to think about things differently, the
4 environment in which physicians, young physicians are
5 trained will be a different environment than we've had in
6 the past.

7 DR. MILSTEIN: [off microphone.] I like that idea
8 better.

9 MR. HACKBARTH: Yes, and I think --

10 MR. BUTLER: You said it more simply than I did,
11 and maybe too simply, but there is a menu or characteristics
12 you call "infrastructure" up there that really the
13 institution is spending those dollars to create that
14 environment, and it could be -- your idea could be one
15 indicator. You know, I wouldn't say it's X FTEs, but is
16 there leadership available doing X? You could begin to
17 create a list of the kinds of things that would be an
18 innovative, progressive institution.

19 MS. BEHROOZI: But then you also have to deal with
20 barriers. You are going to create an environment that will
21 allow for the development of, you know, well-rounded,
22 educated, and best practices physicians. But then I think

1 that you have to acknowledge the cost barrier as well as the
2 specialty board certification exam barrier, which you
3 identified in here, which I don't know -- and this is
4 consistent with the conversation about what are the things
5 that we have leverage over as payers. I don't know to what
6 extent we have leverage over the exams. And I don't know to
7 what extent we have leverage over the very last thing, the
8 public service component, which I think is critical. You
9 know, for all this taxpayer investment, taxpayers ought to
10 get something explicitly back. But I don't know that we've
11 got those levers.

12 But in terms of lowering the cost barrier, in the
13 text box it says that, at least if I'm reading this right,
14 for residency training programs begun before 1995, there is
15 a 6-percent premium on GME for certain specialties,
16 including family practice, general internal medicine, and
17 geriatrics among them. And I imagine that is somewhat to
18 offset the fact that the other specialties bring in more
19 high-paying business to the hospitals, and I don't know
20 whether that 6 percent is enough to change the balance in
21 the hospital's view. But certainly that money is not
22 getting down to the residents themselves.

1 So in terms of what Medicare is able to do, it
2 looks like Medicare is able to base its payment decisions,
3 at least to some extent, on what the specialty of the
4 residency is. So why don't we not just accept things as
5 they are, but, you know, think about shaking it up a little
6 bit more and making big distinctions between how much we
7 will support the specialties that the Medicare population
8 needs the most or needs the most new doctors, not only to
9 the extent that it incents the hospitals to develop those
10 programs and hire the best teachers and invest in IT for
11 care coordination and things like that, but also to the
12 extent that we can make it apply to the students and whether
13 that's in rewarding loan forgiveness program or tuition
14 abatement programs or those kinds of things, it's really
15 critical. Just back on whether it is Slide 3 or whatever --
16 you don't have to go back there, but just the distribution
17 of quintiles, you know, the students of the upper quintile,
18 remember, another factor that's not captured by that slide
19 is that upper quintile's wealth has grown in that period of
20 time, and that bottom two quintiles' wages have stagnated.
21 I don't know how they have kept up as much as they have.
22 And even the small decline, it is hard to tell on that

1 chart, but it looks like it's gone from maybe 18 to 15
2 percent. That's a bigger percentage drop, you know,
3 proportionately in that group, and it's not just about who
4 goes in, but obviously what they choose to do on the other
5 end and where they choose to practice and things like that.

6 Sorry, just also on the top point, we have not
7 talked about that because I guess it's the hardest one. And
8 I know in states where insurance departments get to set the
9 rules for how insurers pay rates, they -- in New York at
10 least, there's a graduate medical education component to
11 hospital rates. I have no idea what Medicare could
12 recommend. I don't know. It seems like it might be worth
13 more thinking and development.

14 MR. HACKBARTH: I think if you were to try to
15 tackle that goal, it would have to be not through the
16 payment rate per unit of service by private insurers but,
17 rather, some sort of tax or levy a tax on premiums with the
18 specific earmarking of it to help finance medical education.

19 MS. BOCCUTI: May I ask a clarifying --

20 MR. HACKBARTH: Sure.

21 MS. BOCCUTI: This is on something that Arnie
22 brought up with the FTE example and I think what Mark was

1 getting at. What we were hearing, maybe in years, we've
2 been trying to get to the GME because we've had such a tight
3 agenda, and what we have been hearing is that, you know,
4 students and residents are not learning, say, for example,
5 quality measurement and how to make changes based on their
6 own measurement of quality. And if they would learn this,
7 this would help move the quality of care forward by -- you
8 know, exponentially once they get into the pipeline.

9 But what you're saying, or maybe you could
10 clarify, that maybe instead of trying to ensure that they
11 are learning quality measurement, for example, we could
12 ensure that they have faculty expertise in quality
13 measurement, and that would be a way of measuring that they
14 had access to these skills or that they were learning the
15 skills. Is that the distinction you're making?

16 DR. MILSTEIN: Yes, is that it would be -- I'm
17 trying to think of what would be easy and practical for
18 Medicare to track or for -- that would be easy, practical,
19 and a reasonably valid means of tracking and accountability,
20 and I just am very -- because I thought about it, you know,
21 worried about the ability to sort of track and quantify
22 curriculum content; whereas, it's pretty straightforward

1 from looking at a faculty member's C.V. whether or not they
2 do or do not primarily focus their teaching and research on
3 any of these topics -- you know, performance management,
4 information technology. You can tell that in an instant.
5 And it also seems, at least in the hospital that I'm
6 affiliated with, a major barrier to moving forward is the
7 paucity of faculty that specialize in these topics.

8 MS. BOCCUTTI: Do the faculty exist? What I'm
9 worried about is that if they're not -- are they at some and
10 not at others? I'm just worried, how do we get the
11 expertise if it's not there?

12 MR. HACKBARTH: At the risk of really gross
13 oversimplification, the point I hear Peter and others making
14 is even leap a step beyond that. If the institution in
15 which people are trained is paid in a way that causes it to
16 really focus on quality improvement, then it will be part of
17 the ethos of the institution, and they'll be recruiting
18 people of all types, faculty and staff of all types that
19 have these capabilities, and it becomes part of the culture
20 of the institution. And that is way better than a course.
21 You know, it's the way we do things here.

22 MS. BOCCUTTI: Right. I hope we're capturing that

1 in our discussion and when we brought these topics up. That
2 is exactly what we're trying to capture as sort of where we
3 go from here. It may not be an either/or, if I'm hearing
4 this discussion correctly. We may have an ideal and a
5 perfect scenario, I think, as you're describing. But if we
6 can't get there tomorrow, can we tackle other priorities,
7 too?

8 I just want to make sure I'm hearing this
9 correctly. Okay.

10 MR. BUTLER: It's not important that we capture it
11 here. It's important you capture it in the chapter.

12 But the one point I would make is that -- a little
13 bit short, I agree. It's a leap beyond, but it doesn't
14 mean, you know, you've got to be a capitated system or
15 you're not going to get GME. We're not going that far. And
16 we're not going to have a checklist, if you are willing to
17 do accountable care, you'll get it. But there ought to be a
18 way we can describe what the characteristics of an
19 organization, and that needs some careful -- and it needs a
20 shelf life of more than a couple years, is the point. And
21 we can do better, and I think it's worth a try.

22 MR. HACKBARTH: But I think you're putting your

1 finger on the challenge here. It's easier to say this than
2 to do this. You know, how exactly do you link payment to
3 this sort of high-performing, wonderful institution. How do
4 you operationalize that notion? It's easier to say than it
5 is to do?

6 MS. BOCCUTI: May I also just say, a lot of this
7 is for future work, for future chapters. You know, we'll
8 capture some of this in the chapter now, but the chapter
9 that's coming for the June report is a little bit more
10 introductory and won't go into major details on the --

11 MR. BUTLER: And it's a great start. It educates
12 and it lays a foundation, and I think it's good.

13 MR. HACKBARTH: Okay. Craig?

14 MR. LISK: I have just one follow-up on Mitra's
15 point. There are only a few states, there is only a small
16 number of states that do have things like New York, and New
17 York is the one that has the largest, because they have a
18 lot of residents, too; there's a lot of interest there in
19 having payments and the private payers. But it's a very
20 small number of states where that is explicitly done for the
21 private payers. So I just want to make sure that -- the
22 impression is that it's not universal.

1 MR. HACKBARTH: Okay. Good work. Thank you very
2 much.

3 We will now have our public comment period, and
4 Karen knows the ground rules.

5 MS. FISHER: I do. I am going to try to limit it
6 to two minutes. I'm Karen Fisher with the AAMC, the
7 Association of American Medical Colleges. We represent the
8 allopathic medical schools and the major teaching hospitals
9 in the country.

10 We support this Commission discussing this topic.
11 It is important, it is timely, and, Glenn, you mentioned
12 that other people are talking about these topics. I think
13 if focused in the right way, this Commission has a lot to
14 offer because of the varied perspectives that you bring to
15 this topic, and not a lot of places have that. And so we
16 support continued discussions on it.

17 I would say, though, that lots has occurred in
18 medical education and in residency training over the past
19 years, and more is going to occur. Our meetings are replete
20 with discussions of GME leaders and others talking about how
21 to look at the practice setting that future physicians are
22 going into and how to make changes to that setting. So you

1 are not out there alone in having these discussions.

2 I also would point out, though, that the AAMC runs
3 the Medical College Admission Test, the MCAT, and it is
4 undergoing -- just so that you are aware of this, it is
5 undergoing a review of the MCAT testing process with the
6 same goal in mind: to look at what is the practice needs
7 for the future, and is the MCAT doing its job to test on
8 those measures to get the right applicants and get the right
9 people matriculating into medical schools.

10 I think we'd agree, we love discussions. You
11 could discuss a lot of these issues in further detail, but
12 probably the best benefit for this Commission would be to
13 focus more and probably more on the clinical setting where
14 the GME dollars and where a lot of the training and the
15 experiential training occurs. And don't forget that the
16 third and fourth year of medical school mostly occurs in
17 those clinical settings, so you're going to capture some of
18 those experiences there.

19 We have a policy on all-payer funding. We'd love
20 to have you discuss that in that arena.

21 Then, finally, what I would say on the public
22 service arrangement, you know, there's a lot of that already

1 going on in medical schools and residency programs. A lot
2 of residents are doing international rotations. They're
3 spending time in schools. They're spending time in prisons
4 and in other avenues. I will tell you the regulations don't
5 allow any GME and IME funding to be paid for that. That's
6 an issue.

7 But if the issue is that residents should pay back
8 after they become physicians for the investment by the
9 public in their education, it makes me a little bit nervous
10 as a graduate of a state institution that maybe for all of
11 the state money that went into my college education and for
12 those going through that now, that if Congress would look at
13 that, you might say, Well, shouldn't everybody who has had
14 their education somewhat reimbursed through state and other
15 mechanisms also do public service? Maybe that is a good
16 thing, but it shouldn't be limited just to physicians.

17 Thank you.

18 MS. BURNS: Good afternoon. My name is Anne
19 Burns, and I'm with the American Pharmacists Association.
20 The American Pharmacists Association represents practicing
21 pharmacists in all different practice settings. Thank you
22 for a very informative discussion both today and at the

1 meeting in March.

2 As the Commission continues its deliberations, I
3 would encourage you to consider including pharmacist-based
4 residencies in your discussions and potentially in your
5 report. Each year, over 1,500 pharmacist residents train in
6 hospital, ambulatory care, and community pharmacy practice
7 settings, provide medication therapy expertise as part of
8 the health care team.

9 In hospital residencies, many of the programs are
10 eligible for and receive GME funding, and I'd be happy to
11 provide any additional information if so desired.

12 Thank you.

13 MR. CONNOLLY: Good afternoon. Jerry Connolly
14 with the American Academy of Family Physicians. We really
15 appreciate the rich discussion that you've had in terms of
16 the global issues and even the specificity of how you might
17 tackle graduate medical education and even, Glenn, how you
18 might operationalize some of these ideas. In two minutes,
19 just let me make three points, if you will.

20 There is a common adage, and that is, what you pay
21 for is what is produced. And right now, with particular
22 emphasis on primary care training, we're still training

1 essentially in a 1960s model. We're training in an
2 inpatient hospital model when 95 percent of the care the
3 primary care physicians deliver is in the outpatient,
4 ambulatory, community-based setting. Since most primary
5 care is delivered in that arena, we should incentivize the
6 training so that that kind of education, that kind of care
7 can be produced. In other words, we are talking about
8 modernizing the graduate medical education system for
9 primary care.

10 The second point, a couple of elements on the way
11 to how to modernize this. Do we incentivize the institution
12 to create more non-hospital setting opportunities? Or do
13 you incentivize the residency programs that create those
14 opportunities and train those physicians? We think perhaps
15 the latter would be the better way to go about that. In
16 other words, don't lock yourself into the mechanism of
17 funding the institution when actually the residency program
18 should be more responsive to the community needs and produce
19 the primary care physician who is actually going to be a
20 member of that community, practicing in that community, and
21 delivering and serving the needs of that community. The
22 residency program, the RRC, the accreditation body, can be

1 more responsive to the community needs; therefore, you
2 create the physician who is a systems-based thinker, someone
3 who can manage the care of a community, of a population.

4 You could also then incentivize through this
5 mechanism means by which the necessary inpatient training
6 can take place. It's just that the dynamic would be in the
7 opposite direction. You fund the residency program who is
8 responsive to the community needs, and then they take care
9 of the necessary training to produce the kind of primary
10 care physician you need not only for the current population
11 but for the growing and changing demographic population that
12 we have.

13 Lastly, let's just talk about the source of
14 funding, and I'll follow up on what Karen said. Graduate
15 medical education was linked originally to Medicare to make
16 sure that we had enough physicians to handle the Medicare
17 population in 1965. We're now not talking about just the
18 Medicare population. I know this body does talk about
19 Medicare. But times have changed. Health care delivery has
20 changed. And we're no longer functioning predominantly in
21 an inpatient hospital setting. We're now functioning in the
22 community. So we need to talk about not only the Medicare

1 population, which I know you are more concerned with, but we
2 need to talk about now the 47 million uninsured. And if, in
3 fact, we are talking about a community that needs primary
4 care, is it only then the Medicare and the Medicaid systems
5 that should be responsible for funding this?

6 It can be argued that perhaps it is not just the
7 Medicare program that should be funding it, that that is an
8 argument for all payers to come to the table and contribute,
9 particularly to producing the primary care physicians that
10 are going to be needed in those community settings to take
11 care of those uninsured.

12 Thank you very much.

13 MR. HACKBARTH: Thank you. We reconvene tomorrow
14 at 9:00 a.m.

15 [Whereupon, at 5:27 p.m., the meeting was
16 adjourned, to reconvene at 9:00 a.m., Thursday, April 9,
17 2009.]

18

19

20

21

22

MEDICARE PAYMENT ADVISORY COMMISSION

PUBLIC MEETING

The Horizon Ballroom
Ronald Reagan Building
International Trade Center
1300 Pennsylvania Avenue, N.W.
Washington, D.C.

Thursday, April 9, 2009
9:04 a.m.

COMMISSIONERS PRESENT:

GLENN M. HACKBARTH, J.D., Chair
MITRA BEHROOZI, J.D.
JOHN M. BERTKO, F.S.A., M.A.A.A.
KAREN R. BORMAN, M.D.
PETER W. BUTLER, M.H.S.A
RONALD D. CASTELLANOS, M.D.
MICHAEL CHERNEW, Ph.D.
FRANCIS J. CROSSON, M.D.
THOMAS M. DEAN, M.D.
JENNIE CHIN HANSEN, R.N., M.S.N., F.A.A.N
NANCY M. KANE, D.B.A.
GEORGE N. MILLER, JR., M.H.S.A.
ARNOLD MILSTEIN, M.D., M.P.H.
ROBERT D. REISCHAUER, Ph.D.
WILLIAM J. SCANLON, Ph.D.
BRUCE STUART, Ph.D.

AGENDA	PAGE
Accountable care organizations -- Jeff Stensland, David Glass	3
Improving providers' performance during hospitalization episodes of care -- Anne Mutti	78
Improving traditional Medicare's benefit design -- Rachel Schmidt	101
Public Comment	144

1 P R O C E E D I N G S

2 MR. HACKBARTH: Our first presentation this
3 morning is on accountable care organizations. David, are
4 you starting?

5 MR. GLASS: Sure. This morning, this briefing is
6 a follow-up to last month's discussion of ACOs. We're
7 responding to your comments. We were going to attempt to
8 more clearly define the basic concept and introduce two new
9 variants, one somewhat simplified and one somewhat more
10 complicated, but it results in patients actively enrolling
11 in ACOs rather than being passively assigned.

12 First of all, let's start by trying to nail down
13 what is an ACO. This seemed to frustrate everyone last
14 month, so here is a concrete definition of what we mean when
15 we say ACO. An ACO is a combination of a hospital and some
16 primary care physicians. It could also include some
17 specialists, although they would not be strictly necessary.
18 ACO could be an integrated delivery system that was
19 organized and included one or more hospitals and many kinds
20 of physicians; or it could be a physician-hospital
21 organization, or a hospital plus a multi-specialty group
22 practice, or just a hospital and some independent practices.

1 It could be an academic medical center, however that was
2 organized. Any of these arrangements could meet our
3 definition. The other requirement is that there should be
4 some defined population of patients associated with the ACO.
5 And the final part of the definition is that the ACO be held
6 accountable for the total Medicare spending and the quality
7 of care delivered to the defined patient population.

8 Now, we realize there may not be total agreement
9 with this definition, and we look forward to your questions
10 at the end. But we wanted to start with something concrete
11 to anchor the discussion.

12 The basic thrust of an ACO design is to give
13 physicians and the hospital joint responsibility for the
14 quality and cost of care delivered to a population of
15 patients. It would provide bonuses for high quality and low
16 cost growth. If a provider meets quality and cost targets,
17 they will receive a bonus of some percentage of their base
18 year fee-for-service payment rates. We are defining high
19 quality as meeting some defined benchmarks, for example,
20 perhaps low mortality or a lower rate of readmissions. We
21 are defining an ACO's cost growth as the rate of increase in
22 total Medicare spending per beneficiary assigned to the ACO.

1 Total spending would include Medicare services patients
2 receive outside of the ACO. The spending growth in the ACO
3 is compared to the target, and that is set nationwide. As
4 we discussed last month, it would sharpen the incentives to
5 also have a penalty for low quality and high cost growth.

6 Now that we know what an ACO is, let's review why
7 we wanted them to begin with. Medicare needs a way to
8 control cost growth. Current spending growth is
9 unsustainable. Constraining fee-for-service rates in
10 conjunction with other policies the Commission has
11 recommended may improve quality and slow growth, but we
12 don't think that will be sufficient to achieve
13 sustainability. ACOs could provide the Congress an
14 additional lever by tying bonuses and penalties directly to
15 the rate of growth in overall Medicare spending, which is
16 ultimately what we want to control. In the same way, ACOs
17 can also help improve quality.

18 The objectives for an ACO policy are to move
19 towards delivery system reform by improving care
20 coordination and collaboration among providers, to tie
21 physician and hospital payments to quality and resource use
22 via a common set of incentives, to achieve a sustainable

1 rate of growth for Medicare spending, and, finally, to
2 reduce regional variation by how we set the target for
3 spending growth. It is a set dollar amount for all, which
4 results in a more aggressive percentage target for high-
5 growth areas, as we discussed last month.

6 The hope would be unnecessary services would be
7 reduced and quality would be improved.

8 Last month, we talked about the two paths towards
9 ACOs shown on the slide. First, let's review the voluntary
10 model in the first column.

11 A hospital and some associated physicians would
12 volunteer to be an ACO. CMS would assign the patients to
13 the ACO based on the primary care physicians that the
14 patients go to, and that's why there must be primary care
15 physicians in the ACO, by definition.

16 Patients are still free to go to any Medicare
17 provider they choose. There is no patient lock-in. The ACO
18 would be subject to bonuses and penalties. They would be
19 held accountable for cost and quality. And providers would
20 need to be organized to volunteer.

21 In contrast, let's look at the mandatory model we
22 discussed in column two. Under this model, all providers

1 are in. CMS assigned providers and their patients to a
2 virtual ACO. There is still no patient lock-in, and there
3 are bonuses and penalties. In this model, no prior
4 organization is needed, but providers may decide to organize
5 to have a better chance to succeed at meeting cost and
6 quality targets.

7 Presumably, in both models, CMS would first tell
8 all physicians what hospital they're affiliated with, the
9 population of patients the ACO would be responsible for, and
10 a few years of cost and quality history. This information
11 would be crucial for physicians and hospitals to decide if
12 they want to volunteer to be an ACO in the voluntary model,
13 and in the mandatory model, it will help them to start
14 organizing and know who to organize with to improve their
15 chance of getting a bonus and avoiding a penalty.

16 Once again, the motivation for talking about ACOs
17 is to find a way to slow the growth in Medicare spending.
18 The basic equation for Medicare spending is price times
19 volume, which means there is a trade-off between the two.
20 Spending is the product of price, and price here is the fee-
21 for-service rates, be they hospital DRGs or the physician
22 fee schedule, or whatever, and volume. You have to

1 constrain on or the other or both to constrain spending.

2 Under the voluntary model, there are weaker
3 incentives to control volume because the program has to
4 attract volunteers, so penalties can't be too tough, and not
5 all hospitals and physicians will join. Those that don't
6 join will have no reason to constrain the volume growth.
7 Without a strong incentive to restrain volume, there would
8 need to be a stronger restraint on fee-for-service rates.

9 Mandatory ACOs, on the other hand, could have
10 stronger incentives to control volume. Penalties could be
11 made tougher, and all hospitals and physicians are included.
12 So incentives for volume control will apply to everyone.
13 This means there could be softer restraints on fee-for-
14 service rates. Providers in the ACO are still paid fee-for-
15 service rates less withholds, so what happens to rates is
16 important to them, not to mention to everyone else.

17 Finally, it would be preferable to eliminate
18 unnecessary care -- that is, control volume -- rather than
19 use the blunt tool of low updates for everyone forever.
20 Research on geographic variation shows there's plenty of
21 care that does not contribute to patient welfare that could
22 be eliminated.

1 The point is there is a trade-off between reducing
2 volume and reducing rates, and mandatory ACOs could have a
3 larger effect on volume. However, there would likely be
4 greater resistance to the mandatory model by providers.

5 Because there seemed to be a preference for the
6 voluntary path last month, we came up with two new variants
7 that address some of your comments. One question was would
8 a bonus-only model be feasible, and we look at that model in
9 the first column. This is the voluntary model I just
10 described, except in this case, instead of a bonus and
11 withhold, there is only a bonus. This model has been
12 proposed by others when they talk about ACOs.

13 You also wondered if there was a way to give
14 physicians in an ACO more control over their patients'
15 Medicare spending because now they would be held responsible
16 for it.

17 In the second column we introduce a new model,
18 which is a voluntary ACO paired with a Medigap SELECT plan.
19 The key difference here is that patients choose to enroll in
20 the ACO and buy an affiliated Medigap SELECT policy.
21 Because a Medigap SELECT policy has higher cost-sharing for
22 out-of-network providers, there is a soft lock-in. Patients

1 can still choose to go to any provider, but they have to pay
2 more to go to providers outside the network.

3 Our motivation for creating this kind of strange-
4 looking creature is that we wanted to get some lock-in that
5 would be attractive to patients, but not get the ACO
6 involved in insurance functions such as claims processing.

7 Now, Jeff will explain how these work in more
8 detail as he walks you through the two new models, and he'll
9 also explain how they compare to the broader spectrum of
10 payment possibilities.

11 DR. STENSLAND: Now we look a bit more closely at
12 the bonus-only ACO that David just outlined. Recall that
13 the ACO concept is grounded in joint responsibility. In the
14 bonus-only model, physicians that use a common hospital
15 agree with the hospital to be held jointly responsible for
16 cost and quality. These could be physicians that are
17 employed by the hospital, or they could be independent
18 community physicians that form a physician-hospital
19 organization.

20 CMS assigns patients to that ACO based on which
21 primary care physician the patients use for a plurality of
22 their office visits. To be a viable ACO, the physicians

1 would need to serve at least 5,000 Medicare beneficiaries.
2 As we said last month, we need at least 5,000 patients so we
3 can differentiate between random variation in costs and
4 quality and true improvements in performance.

5 The two key things to remember about this model
6 are, first, that patients are assigned to the ACO, but they
7 can still use any doctor they choose; and, second, the model
8 is bonus only. If the ACO succeeds in consistently
9 improving the value provided to Medicare beneficiaries,
10 Medicare and the ACO will share in the savings. If the ACO
11 fails and practice patterns do not change at all, providers
12 will not face a penalty. In a sense, the status quo is
13 accepted.

14 This is just a visual picture of how the bonus ACO
15 would work. The physicians that use a common hospital
16 volunteer to be held jointly responsible for the set of
17 patients they serve. CMS would evaluate Medicare claims and
18 assign each patient to the primary care physician -- these
19 are the green circles in the slide -- that a patient sees
20 for a plurality of their visits. Physicians in the
21 hospitals would be members of some type of physician-
22 hospital organization. As I said, this could be a loose PHO

1 or a formal integrated delivery system with common
2 ownership. Medicare wouldn't prejudge which physician-
3 hospital structure works best. Anyone that provides high-
4 quality care at a low cost would be rewarded.

5 The new variant for today is a voluntary ACO
6 teamed up with a Medigap SELECT supplemental insurance
7 product. We bring up this option because some of you have
8 expressed some concern about physicians being held
9 responsible for all patient care, even when patients use
10 providers outside of the ACO. The purpose of this option is
11 to give patients an incentive to stay in the ACO for
12 services.

13 Note that providers' obligations in this model are
14 similar to the bonus-only ACO. The providers still need to
15 form some type of physician-hospital organization and take
16 responsibility for the patients; however, from the patient's
17 perspective, things are very different.

18 In the ACO with the Medigap SELECT model, a
19 patient must enroll in both the ACO and an affiliated
20 Medigap SELECT supplemental insurance product. The patient
21 acknowledges that if they go outside of the ACO network for
22 care, they could face higher cost-sharing. A Medigap SELECT

1 insurance product will have a limited preferred provider
2 network. The SELECT plan could cover Medicare cost-sharing
3 for providers in the ACO's network, but the ACO network
4 would consist of providers in the ACO and providers outside
5 of the ACO that are needed to create a full complement of
6 medical capabilities.

7 Providers may look at this and prefer this option
8 because it could give them greater leverage to control
9 utilization and where the patient goes for care. This may
10 lead physicians to be more comfortable being responsible for
11 the patient's overall cost of care. Some patients may
12 prefer this option because it could end up giving them lower
13 Medigap premiums. The restrictions on the network of
14 providers that they would use could create some savings that
15 could be passed on to them.

16 This is a picture of how the ACO SELECT option
17 could work, and this is still just a preliminary idea we are
18 sharing. There are two differences in this from the earlier
19 picture.

20 First, note that the Medigap SELECT plan is
21 affiliated with the ACO. Now, the ACO could operate the
22 Medigap SELECT plan, or it could be provided by an

1 independent insurer.

2 Second, notice that the patient has to choose to
3 join both the ACO and the affiliated Medigap plan. In this
4 picture, the little arrows indicate patients who choose to
5 buy this type of Medigap SELECT insurance and enroll in the
6 ACO. In this example, patients 3 and 4 chose not to enroll
7 in the ACO.

8 Now we just want to recap some of the benefits and
9 challenges of the ACO SELECT model. The benefit of the ACO
10 SELECT model is the patient commitment to the set of
11 providers in the ACO. The weakness of the model is we need
12 beneficiaries to actively switch from their current Medigap
13 plan to a new ACO SELECT Medigap plan. Some would do so,
14 but we expect that many would not.

15 Last month, we showed that an ACO requires 5,000
16 members to be stable enough to differentiate between real
17 improvements in cost control and random variation in costs.
18 If there are fewer patients per physician, then the number
19 of physicians in the ACO would have to increase. Individual
20 physicians would then have less of an incentive to change
21 their practice patterns because more physicians are the ACO
22 splitting the bonus. In addition, reaching joint decisions

1 to change practice patterns or capacity may be more
2 difficult if you have a larger number of physicians,
3 especially if those physicians are not part of a single
4 practice or a single integrated delivery system.

5 As we have said in the past -- I think we said
6 this last month -- to truly change practice patterns, it may
7 be necessary to have a significant share of both Medicare
8 and private payer patients in each physician panel under
9 ACO-type incentives. This may require certain physicians to
10 focus their practice on ACO patients. So maybe the ACO
11 SELECT model would still be feasible if there was some
12 physicians that focused on ACO patients and other physicians
13 that focused on patients that chose not to join an ACO
14 SELECT type plan.

15 Finally, if we complicate the ACO model by
16 bringing a Medigap product into it, we would have to
17 consider some of the complexities of Medigap insurance,
18 including how to bring low-income beneficiaries into this
19 type of ACO.

20 Now we just want to do a head-to-head comparison
21 of the ACO variants we discussed today, just to recap some
22 of the differences of the two models.

1 In the bonus-only variant, CMS assigns patients to
2 the ACO where they go for primary care. In contrast, in the
3 ACO SELECT option, the patient must sign up to be in the
4 ACO, and there are several implications of this. In the
5 bonus-only model, all Medicare patients would be assigned.
6 In contrast, in the ACO SELECT model, many patients will
7 choose not to switch Medigap plans. Hence, if a physician
8 was to have a large panel of ACO patients, they may have to
9 start limiting their practice to patients in the ACO.

10 In the bonus-only model, patients are free to
11 choose any doctor. In the Medigap ACO model, patients would
12 face higher cost-sharing for going outside the ACO.

13 Another key difference is how the top options are
14 funded. Under the bonus-only option, shared savings may not
15 fully fund the bonuses. Medicare may need to restrain fee-
16 for-service rates. Under the ACO SELECT option, providers
17 may be willing to accept withholds due to having greater
18 control over patients. Incentives for volume control could
19 be larger, and shared savings and withholds together may be
20 sufficient to fund the bonuses.

21 In sum, we have yet to find the perfect ACO
22 concept. The difficulty with the bonus-only variant is it

1 is not clear that fee-for-service rates could be constrained
2 enough to create a meaningful bonus. The difficulty with
3 the ACO SELECT option is we're not confident that many
4 Medicare beneficiaries would select it.

5 Now we contrast the strengths of the ACO models
6 with the MA plan. Starting at the left, the last time we
7 talked about mandatory ACOs, the key benefit of mandatory
8 ACOs is that a large share of each physician's patients will
9 be in the ACO because it is mandatory, and there would be
10 stronger incentives put in place under the mandatory model.
11 The weakness is that physicians may resist being jointly
12 responsible for care that is outside their individual
13 control.

14 In the second column, the ACO bonus-only model
15 wins some points for being the least disruptive. Physicians
16 still get fee-for-service payments. Patients can still
17 choose any doctor accepting Medicare patients. The weakness
18 of this plan is that the bonus would have to be funded in
19 part by constraining fee-for-service payments, and there is
20 the question if we have the will to do that.

21 In the third column, the ACO with Medigap SELECT
22 model wins points for having a stronger commitment from

1 providers and patients. Patients have to enroll and accept
2 a restricted network of providers. Physicians will still
3 have more control over patients and would have to accept a
4 withhold. The withhold would create stronger incentives for
5 behavioral change and, hence, larger shared savings.

6 The difficulty here is that few patients may join,
7 and even with a big incentive per patient, if only a small
8 share of a practice's patients join an ACO, it may not have
9 much of an effect on the practice's practice patterns.

10 Now, last, we show the MA plans for comparison.
11 The MA plans have the most restrictions placed on patients
12 and give providers the strongest incentives to control
13 costs. However, providers have been reluctant to start
14 their own MA plans due to difficulty in negotiating rates
15 with other providers and difficulty absorbing insurance
16 risk.

17 To kind of summarize, the objective of all four of
18 these models is to give physicians and hospitals a greater
19 incentive to keep people healthy. We want to avoid
20 unnecessary services and counteract incentives in the fee-
21 for-service system to grow volume. The ACO variants try to
22 create incentives for efficiency without making the

1 providers take on the insurance risk or to pay claims or to
2 have to negotiate rates with private payers, the kind of
3 things that insurance companies do. So kind of the idea is,
4 Can we get some of the benefits of the incentives of an MA
5 plan without putting all the burdens of the MA plan on the
6 providers?

7 Now, over the past couple of months, we have
8 presented four different variants of ACOs, and now we want
9 to hear some of your thoughts on which direction to take the
10 ACO concept. We presented one mandatory option and three
11 voluntary options. We'd like your thoughts on the relative
12 merits of mandatory versus voluntary.

13 Second, we discussed a bonus-only option and three
14 options that could have bonuses and withholds. For the
15 bonus option, the key questions are: First, can the bonus
16 be large enough to really change practice decisions? And,
17 second, will fee-for-service rates be adequately constrained
18 to fund the bonuses?

19 For the ACO SELECT option, the key question is
20 whether enough people sign up for it to affect physician
21 practice patterns. We're concerned that having 20 or 30
22 percent of a practice's Medicare patients in this type of

1 plan will not be enough for physicians to change practice
2 patterns. In addition, there may be a need for some special
3 provisions to allow low-income individuals into the Medigap
4 plan who do not currently purchase Medigap plans.

5 For both options, a key question is how should
6 bonuses be distributed, and this would hold for all the four
7 options we've discussed. In the mailing, we suggested that
8 each physician and hospital receive a set percentage add-on
9 to their fee-for-service rates. Others have suggested that
10 the ACO get a lump sum and then divide the payment.

11 There is a concern that if the PHO is giving a
12 lump sum of money, the PHO members may spend a considerable
13 amount of time deciding how to divide the funds among the
14 hospital, the primary care doctors, and the specialists, and
15 this could create some conflict when what we are trying to
16 do is foster cooperation.

17 Another topic for discussion is which option would
18 be most likely to induce private insurers to create their
19 own plans with incentives to restrain volume and capacity.
20 To make ACO incentives strong enough to overcome the fee-
21 for-service incentives for capacity growth, physicians may
22 need to face incentives for capacity constraint from both

1 Medicare and private payers. The idea is Medicare alone may
2 not be enough.

3 Finally, we could discuss having our system of
4 spending targets for ACOs be synchronized with a system of
5 spending targets for MA plans.

6 I would like to hear your comments.

7 MR. HACKBARTH: Thank you. Before we start the
8 round one questions, we're not going to have any votes on
9 ACO, but as you know, there is a lot of interest in this
10 idea in Congress. so I am striving for as much concreteness
11 in what we say about it as possible.

12 Personally, I see the interest in Congress as a
13 very good sign. It seems that there is a growing acceptance
14 -- not unanimous acceptance by any stretch, but growing
15 belief that more organization in the delivery of care is an
16 important step in improving the health care system. And
17 that is something that I believe personally and very
18 strongly. And so the interest in this idea is, you know,
19 how can we through Medicare foster, support that sort of
20 organization, so it is a very important topic.

21 I want to thank Jeff and David and Mark for their
22 patience in dealing with me on this issue. I have led them

1 down a number of alleys, more blind than rewarding, and so
2 thank you for doing that.

3 Let me see hands of people with round one
4 clarifying questions.

5 DR. REISCHAUER: Yes, do we envision that a
6 primary care physician could be a member of more than one
7 ACO? And do we envision ACOs that might consist of more
8 than one hospital, a community one and a teaching one?

9 MR. GLASS: I would say no to the first question.
10 We think that a primary physician would have an assignment
11 to a particular and only one ACO. But, yes, we think that
12 multiple hospitals could come together and form a larger
13 ACO, and that would make particular sense.

14 DR. REISCHAUER: Do we have a distribution of
15 physicians -- you know, if you did this virtually and we
16 looked across all primary care physicians, do we have a
17 distribution of how many, what fraction of their Medicare
18 patients participated with one hospital?

19 MR. GLASS: Yes, I think we did. Jeff, do you
20 remember the number? Seventy-five percent?

21 DR. STENSLAND: I don't remember the exact number.
22 Maybe John remembers the number, but it's fairly high. I

1 think there is a possible benefit of the physician that uses
2 two different hospitals or is a part of two different
3 organizations. If indeed one does have higher quality
4 scores and lower cost, then they would have an incentive to
5 start admitting their patients over to that more efficient
6 system, and more patients would be funneled into the more
7 efficient system because they would get a bigger bonus if
8 they affiliated themselves with the more efficient of the
9 two systems.

10 DR. REISCHAUER: But the capacities of hospitals
11 say within a metropolitan area vary, and you might have a
12 physician like mine who would send you to one of the major
13 teaching hospitals for major cardiac surgery, but to a
14 community hospital for other kinds of things. And I'm just
15 trying to figure out how that's going to work.

16 MR. GLASS: Yes, but Elliot Fisher has done work
17 on this, and they have -- I think they call it loyalty to a
18 particular hospital, and they also have to the next hospital
19 up, so to speak, to the referring hospital from there.

20 DR. REISCHAUER: [off microphone] [inaudible] of
21 the area which involves a 150,000-person metropolitan area
22 versus a 2 or 3 million one, and I'm not sure that that

1 pattern might not exist in the large metropolitan areas to
2 the extent that his data suggests.

3 MR. BERTKO: Two comments and the preface being
4 that --

5 MR. HACKBARTH: [off microphone.] This is
6 clarifying questions.

7 MR. BERTKO: I know. Yes, they are clarifying
8 questions, but I -- thank you. As David noted, I want to
9 identify myself as being on the Fisher team for ACOs so
10 everybody recognizes that.

11 David, if you could turn to Slide 6, I think it
12 is, where you're comparing spending. I would suggest -- and
13 I'd look for you to see if you disagree with me on this, and
14 this is on the borderline, Glenn, so I will ask your
15 forgiveness. Because the first one where it is voluntary is
16 subject to presumably big bonuses, I would suggest that the
17 volume incentive here actually isn't weaker. It is actually
18 stronger. The money comes, as Willie Sutton said, instead
19 of from the banks, from the hospital. That is the first
20 source of savings, and it is pretty high. And then,
21 secondly, from controls on referrals to specialists. So the
22 high pressure I wouldn't necessarily say is on fee-for-

1 service rates. It might be on fee-for-service intensity
2 where you are substituting, for example, primary care
3 services for lower back pain in place of orthopedic services
4 for lower back pain. And so the rates per se don't
5 necessarily have to be constrained, but I would suggest that
6 the intensity and the utilization have a fairly high
7 constraint in order to achieve the bonuses.

8 DR. STENSLAND: The basic idea we were coming from
9 is whatever your bonus, a bonus plus a withhold is going to
10 have a bigger incentive than just a bonus on itself.

11 MR. BERTKO: I agree with that.

12 DR. STENSLAND: And it is going to be much easier
13 to get a bonus and withhold system in a mandatory than in a
14 voluntary, was the idea. Under a voluntary system, it might
15 be more difficult to get physicians to accept a withhold.

16 MR. BERTKO: I don't disagree with what you said.
17 What I am suggesting here is you have weaker volume
18 incentive. I don't think I agree with weaker volume
19 incentive as that particular adjective.

20 MR. GLASS: The other aspect of that is not
21 everyone will volunteer. So there will be a large
22 population of providers out there who have no incentive to

1 control volume. So when you add them up, we think the
2 volume incentive would be weaker in total.

3 MR. BERTKO: Okay. Well, I'll save that
4 discussion for the second part. The other clarification
5 question is -- and you guys I think did a very good and very
6 clever job on setting out these. I'm going to only make it
7 slightly more complicated and ask if you considered a soft
8 enrollment version of the voluntary ACO. And by "soft
9 enrollment," it means that CMS would attribute members to
10 their physicians, and then send out a letter that says,
11 "Dear Mrs. Jones, You seem to have gotten all of your care
12 in the last two years from Dr. Smith, so you're in this
13 particular ACO unless you decline. Sign the form on the
14 bottom if you wish to decline. Otherwise, you're in."

15 MR. GLASS: Then what would you do? Meaning what?
16 That they couldn't go to other providers or that they'd have
17 higher copays or --

18 MR. BERTKO: Of course, they could go to other
19 providers, but it would be an alert to them that they, in
20 fact, were working with this particular doctor who is part
21 of this particular ACO.

22 MR. GLASS: So it would be informative, not

1 particularly -- it wouldn't change their behavior in any
2 way.

3 MR. BERTKO: Yes, there would be -- without
4 changes to Medigap, there would be no penalties. But it
5 would be informative in the sense that it confirms where
6 they are and that they should be looking to have Dr. Smith
7 as the usual source of care.

8 MR. HACKBARTH: Okay, let me see hands again on
9 this side, and let's keep it to clarifying questions
10 because, in fairness to the people who are waiting for round
11 two, I want to make sure we get to round two. You have a
12 clarifying question, Jennie?

13 MS. HANSEN: The clarifying question is
14 assignment, and I guess it's built in that this is still
15 choice on the part of Medicare beneficiaries, because I know
16 this has been an issue of a Medicare beneficiary being told
17 that they are in a place. So this is addressed in this
18 issue?

19 MR. GLASS: Well, they are assigned -- depending
20 on which variant we're talking about, but in most of them
21 Medicare says they're assigned to that particular ACO. But
22 the beneficiary still is free to go wherever they want.

1 DR. STENSLAND: The decider is the beneficiary.
2 So the beneficiary goes wherever they want, whenever they
3 want, at least except for the Medigap SELECT model, that
4 aside. But in the basic models, they go to wherever they
5 want whenever they want, and then CMS looks at where did the
6 beneficiary choose to go. Oh, they choose to see Dr. X
7 mostly? Okay. Then they'll be assigned to Dr. X. So the
8 beneficiary is still in the driver's seat of complete
9 choice.

10 MR. HACKBARTH: And even in the Medicare SELECT
11 model, there they are making a choice to enroll or not, much
12 as they make a choice in Medicare Advantage to enroll or
13 not. So all of these designs in various ways strive to
14 maximize choice for Medicare beneficiaries as opposed to
15 force them into a particular delivery system.

16 DR. MARK MILLER: Even in the SELECT model, they
17 can choose to go in and out of network.

18 MR. GEORGE MILLER: My question follows Bob, but
19 only from a rural perspective. Can you describe to me how
20 this would work in a town of 10,000, maybe five physicians,
21 where two may send to one hospital X and the other three may
22 send to hospital Y in different directions? I just can't

1 put my arms around how this would work in rural areas.

2 DR. STENSLAND: I think there would either have to
3 be an exception for small towns to opt out or some special
4 provision. I could envision a system where they may,
5 especially in a bonus-only model, choose to band several
6 hospitals together. For example, these larger rural systems
7 that may have five different small hospitals, they could all
8 be banded together and evaluate on the sum total of all
9 their patients together. I think that would probably end up
10 being their choice if they wanted to do that.

11 MR. GEORGE MILLER: To follow up, those who are
12 independent are not part of a large system, they'd just opt
13 out?

14 DR. STENSLAND: If they didn't want to sign up
15 with other individuals and just be their own entity, it
16 would be difficult. You might have to have some sort of
17 exception because they would have such a small number of
18 patients, there would be a big volatility in costs, and you
19 really couldn't measure them adequately.

20 DR. SCANLON: The model you have on page two, I
21 think it differs from what I had in mind that was an ACO and
22 it seems like it's somewhere between a medical home and what

1 I used to have in mind as an ACO, so this is a question
2 about selection or assignment sort of within this new
3 definition and it goes to sort of what is this idea of a
4 primary care physician, because I think, in thinking about
5 an ACO that involves specialists, people with diabetes,
6 heart disease, COPD, who use specialists as primary care
7 physicians, they get included. And the question is here in
8 this assignment model, is there a way that that can be taken
9 into account?

10 And I guess the other part of the assignment is
11 the issue of the non-users and sort of what happens to them
12 in the system, even though they are likely to be low users.
13 There is also the potential that they develop something
14 after an assignment period and then they become more
15 expensive for the rest of the --

16 MR. GLASS: Well, taking the second part first, I
17 think the definition is, what, plurality of E&M visits,
18 Jeff, or the -- for who is considered their principal
19 provider. So I think that a cardiologist would still fit
20 into that mode.

21 MR. STENSLAND: You could do it -- it's been done
22 two different ways, and sometimes when the data has been

1 run, it's only looking at primary care physicians and
2 assigning them that way, and sometimes it adds in
3 specialists if that's where they got most of their care.
4 And I think that's probably a detail that we'd have to work
5 out. There's kind of some difficulties if we start
6 assigning people based on primary care and how the data
7 plays out in terms of if we start assigning people to the
8 specialists and certain hospitals use more specialists than
9 others, it affects the risk adjustment in the model. So
10 maybe we'll leave that for a later discussion and a long
11 footnote.

12 MR. GLASS: But in general, the idea would be
13 primary care providers would be who you would design it to
14 and you'd have to figure out what to do with the -- but the
15 very low users would still, as long as they had one E&M
16 visit in two years, I guess, would still work. So that's a
17 pretty low bar.

18 MR. HACKBARTH: Let me see clarifying questions on
19 this side.

20 DR. KANE: Yes. I guess I'm wondering why is it
21 Medigap SELECT instead of Part B SELECT or some -- I don't
22 know what the Medigap average premium is, but the Part B is

1 probably the more meaningful premium that you might want to
2 lower. I mean, if one was trying to -- anyway, just did you
3 say it should be a Medigap SELECT rather than a Part B
4 SELECT --

5 MR. GLASS: You know, I mean, these things exist.
6 The Medigap SELECT plans exist. I think about 10 percent of
7 Medigap people are in them now. And so that's kind of the
8 model we're building off of. It's the existing model. And
9 I'm not quite sure why. I mean, if a lot of the savings
10 would be from admissions or readmissions or whatever, I
11 would think you'd want both A and B in there. But anyway, I
12 think the current Medigap SELECT ones are -- it's both A and
13 B. It's all Medicare.

14 DR. KANE: I'm just thinking about the amount of
15 the premium that might go down if you choose it. Which one
16 would be the bigger -- how much is an average Medigap
17 premium?

18 MR. BERTKO: One-hundred-seventy-nine or \$180.

19 DR. KANE: Okay, so that's -- and Part B can be
20 anywhere from \$100 to \$700, depending on how your income is.
21 And then the D is \$30. So Medigap being the biggest premium
22 to cut? In other words, the incentive to join the Medigap

1 SELECT would be you have a high Medigap premium and you want
2 to lower it.

3 MR. STENSLAND: Yes, and I think we're kind of --
4 we're striving to find something out in nature that already
5 exists that we could piggyback onto and that's kind of where
6 the Medigap SELECT idea worked. If they're able to move 10
7 percent of beneficiaries already into Medigap SELECT plans
8 by offering them a lower premium with a restricted network,
9 we thought, okay, at least that shows that this is something
10 that has proven to work to some degree.

11 DR. KANE: Just another minor question. If you're
12 talking about physicians having admitting privileges to two
13 hospitals and the one with better bonuses is the one they
14 start sending patients to, how do you make sure that doesn't
15 look like a kickback?

16 MR. STENSLAND: Well, I think that gets back to
17 how do you set up the bonuses, and if everybody's bonus was
18 just a flat add-on to their fee-for-service rates, so if I
19 was an orthopedic surgeon and I was getting paid \$1,000 for
20 this surgery, I knew that if I was in a high-quality, low-
21 cost area, I would get \$1,100, or a 10 percent bonus, and
22 that would happen no matter which of these high-quality,

1 low-cost things I went to.

2 The kickback problem would get to be more
3 difficult if we just gave the physician hospital
4 organization a lump sum and then we said, okay, you can
5 decide how much the orthopedic surgeon gets for the patients
6 that go to your hospital, that would be a concern.

7 DR. CROSSON: Yes. I had actually focused on the
8 same sentence that John had, and I just want to clarify the
9 clarification, if that fits. So could we go back to Slide 6
10 for a moment?

11 So I had the same sense that I couldn't understand
12 necessarily how the voluntary model produced a weaker volume
13 incentive, and I think what I heard was that the way you're
14 defining the volume incentive is sort of in global dollar
15 terms. In other words, the total amount of money, let's
16 say, saved by the Medicare program would be less than a
17 mandatory model because everybody wouldn't be in it, not
18 that the dynamics inherent in the voluntary model would
19 produce at the level of an individual provider or an
20 institution a lower incentive. Is that correct?

21 DR. MARK MILLER: I think actually there were
22 three parts to the answer. One is how many people do you

1 have in a voluntary model versus a mandatory model, the
2 point you were making.

3 Two, in the exchange between Jeff and John, it was
4 relative to a bonus plus withhold, this is a weaker
5 incentive, and part of our conversations have included this
6 notion of bonus and withhold, and I think part of the
7 sentence is predicated, well, if you remove the withhold,
8 you have somewhat weaker incentives.

9 The last piece in my mind, and I'm not sure how
10 close we got to this point, is this model depends on what
11 size of a bonus you can give, and that's kind of unclear.
12 And part of our thinking is predicated on, well, you may
13 have to pressure fee-for-service to produce a bonus that you
14 can give to these people, to people who volunteer. And
15 depending on that, that could be strong or weak, depending
16 on how deep you go on your fee-for-service side.

17 So I think the complexity is there's three -- at
18 least three concepts running around in that sentence.

19 DR. CROSSON: So this is a question between the
20 two slides. The voluntary/mandatory distinction here, on
21 the next slide, the voluntary option is blown up, if you
22 could go to Slide 7, on the right, is blown up to include a

1 voluntary mechanism that, in fact, does include a withhold.

2 MR. GLASS: That's correct.

3 DR. MARK MILLER: On the select side --

4 DR. CROSSON: Right.

5 DR. MARK MILLER: -- versus the -- yes. So
6 between those two, I think some of the argument would be
7 that we would think that the incentive would be stronger on
8 the right side than on the left side.

9 MR. HACKBARTH: Except for the fact that since
10 you're on the right side, you might have fewer beneficiaries
11 participating and a lower proportion --

12 DR. CROSSON: Because of the complex and double
13 enrollment.

14 MR. HACKBARTH: Right. Okay. Let me see hands
15 for round two comments, and we'll just go the other way.
16 Bruce, and then Peter.

17 DR. STUART: I guess one of the problems I have is
18 this looks like a bird that keeps getting heavier and
19 heavier and I'm just not sure that it has wings to be able
20 to take off, and part of that comes down to the issue of
21 this is, after all, a fee-for-service system and we
22 recognize the incentives that fee-for-service provides for

1 making more services available, and I just have trouble
2 seeing how this is going to provide -- how conceivable
3 bonuses under a system like this would offset the inherent
4 incentive in fee-for-service to make more services
5 available, particularly for non-primary care physicians.

6 I guess my thinking is that Medigap SELECT, we're
7 pushing -- I think the reason that's there is that I think
8 that this thing probably can only work if there is some kind
9 of lock-in. Maybe it's a soft lock-in or something other
10 than that, but it seems to me that unless the organization
11 can be fairly well assured that it can control the members
12 that are under its wings here, that it just isn't going to
13 be able to take off.

14 This gets back to a point that Mike raised
15 yesterday, is that we tend to look at these things in silos.
16 We have had an opportunity to look at medical homes and now
17 we're looking at ACOs and I can see how something might come
18 together if you had a combination of a medical home and an
19 ACO, but I sure have trouble seeing how just straight ACOs
20 without something that keeps people together is going to
21 work.

22 And Medigap SELECT, I just can't see it as being

1 the structure that's going to make this thing fly, because
2 it's not going to affect anybody who's in a retiree program.
3 It's not going to affect anybody who's in Medicaid. And
4 it's going to be a small, presumably, subset of people who
5 are in current Medigap policies. So --

6 MR. STENSLAND: Yes. You would have to hope that
7 there would be some other people coming into the game, and I
8 think this is the idea that maybe there would be a Medicare
9 ACO or a Medigap SELECT. Maybe the employers could set up
10 some parallel set of incentives in their own supplemental
11 insurance plan, and the idea is that even private insurers
12 could set up their own parallel system of incentives. And I
13 think this is the kind of model that they're trying to come
14 up with in Vermont right now, where you have the main
15 private insurers and Medicaid and they're hoping Medicare,
16 all getting involved with similar incentives where they're
17 basically saying, your payment per unit of service will be
18 higher if you have lower growth in your volume of fee-for-
19 service.

20 DR. STUART: I agree, and nothing of that is here.
21 I mean, that's the assumption. But what makes that happen?

22 MR. GLASS: Well, I don't think that's the

1 assumption. I think that's the hope, maybe.

2 MR. STENSLAND: Yes. We can go through that, but
3 that kind of gets back to the detailed things we did last
4 month on what is your actual incentive to buy an MRI
5 machine? What's your incentive to build an extra bed?
6 What's your incentive to hire a cardiologist? And do we
7 think that the amount of money we're moving around here is
8 sufficient to change those decisions?

9 MR. HACKBARTH: Let me just react to what Bruce
10 said. I share your concern about the strength of the
11 incentives, so long as the underlying payment is fee-for-
12 service, and we discussed that at some length last month and
13 I think that's a real issue. What that prompted me to focus
14 on after the last meeting was thinking about, well, what
15 about a model based on global capitation as opposed to fee-
16 for-service with gain sharing? And I spent some time trying
17 to think through what that model might look like. And you
18 can conceive of that as sort of an extension of Medicare
19 Advantage as opposed to building from fee-for-service.
20 Let's approach it from the other direction.

21 The problems that I ran into on that particular
22 journey was that you're going to have an enrollment decision

1 then with beneficiaries, and to the extent that you get
2 lower enrollment, that is another way of reducing the
3 incentive to change. You're only talking about influencing
4 a small piece of the hospital's revenues or the ACO's
5 revenues. So that's a challenge.

6 The second thing in talking to some hospital
7 people about this was that I had thought the reason that
8 they didn't do the MA-PSO thing was concern about risk, and
9 I said, well, we can deal with that. We can attenuate the
10 risk through risk corridors and various things to make it so
11 it doesn't seem as risky. And they said, well, okay.
12 That's nice. But it still means that we need to have
13 insurance capabilities. We need to be able to pay claims
14 and deal with providers and negotiate contracts. That's not
15 the business that we're in. And so it's not just a matter
16 of attenuating the risk. It's also lots of administrative
17 functions that they don't have the capacity for.

18 So given that, I've sort of cooled on approaching
19 this through global capitation and went back to basing it on
20 fee-for-service. It doesn't alter the fact that you're
21 right. The incentives, as we discussed last time, are
22 attenuated. They're not as strong as I would like them to

1 be.

2 DR. MARK MILLER: Just one quick thing. I mean,
3 the Medigap SELECT point here was in response to comments
4 about, well, is there some way to get a soft lock-in with
5 the beneficiary. These were questions you were raising, so
6 we went out and tried to find something to do that.

7 The other way to think about the Medigap SELECT
8 point is that maybe that concept needs to be blown up in
9 order to make the ACO work. Maybe there needs to be a new
10 Medigap product and maybe structure it in such a way that it
11 isn't such a small part of the market. But we'd have to
12 think through exactly how that works, the enrollment rules,
13 the people being able to transfer from their current Medigap
14 to this new product, that type of thing. But it would be a
15 whole different exercise, or additional exercise.

16 DR. STUART: What do you think, though, about
17 linking the medical home to this concept, because it strikes
18 me that if you have a real medical home, then the physicians
19 that are associated with that medical home are going to be
20 in a much more powerful position to work with the hospitals.

21 MR. STENSLAND: That's -- several people have
22 thought about that and have the idea of having the medical

1 home embedded in this thing. They start with the little
2 building blocks of the medical home and then you can build
3 an ACO around it. I think one of the key questions is do
4 you have the hospital in there, also, and a lot of these
5 models, they just have the primary care physicians and maybe
6 some of the other specialists and they don't have the
7 hospital in this ACO framework, where we have set it up so
8 far that the hospital is in there, basically trying to
9 respond to some of the comments we heard from all of you
10 that you want to encourage more systemness and cooperation.

11 MR. HACKBARTH: You know, I would think that,
12 certainly if I were setting up an ACO, I would want to have
13 medical homes linked up. I'm not sure you need to require
14 that. I think that's where -- if the medical home model
15 works, they will gravitate towards that. You don't need to
16 tell them to do it.

17 DR. STUART: Well, maybe it's a staging issue. I
18 mean, all of the emphasis that I've heard over the last two
19 years that I've been here on primary care, I mean, that is
20 focused on the medical home model. So, I mean, if we said,
21 okay, we've got a certain number of chits in terms of the
22 kind of recommendations that we want to make, I would feel

1 much more comfortable in terms of really putting some strong
2 recommendations on medical homes and then saying, look, if
3 you can set up a system of medical homes, then it's going to
4 lead -- it can lead naturally to these kinds of
5 organizations as kind of a second tier in terms of the
6 development strategy.

7 MR. BUTLER: Okay. I've got several comments
8 here. I'd start by saying that my bottom line will be
9 heavily in favor of the left-hand side, voluntary bonus
10 model, with some clarifications, and I would say at the
11 front end somewhat similar to what you said, Glenn, and that
12 is there's a real beauty here in that not only are you
13 eliminating the contracting, administrative, and billing
14 functions, but you're locking in Medicare rates, which
15 Medicare Advantage plans typically haven't been able to
16 achieve. So you've got a baseline on the pricing side kind
17 of solved. As much as hospitals and doctors may not like
18 that, that's not unimportant in this model.

19 Second, and maybe the most important part, we're
20 going to talk about episodes of care later and whether to
21 bundle them and just the inpatient stay or the 30 days and
22 now this is just another point on the continuum at the ACO

1 level. Now, I think we need to think about where do we want
2 physicians and hospitals to spend their next energy in
3 organizing to managed care, and where I come out heavily in
4 favor of ACOs versus episodes or others is you've kind of
5 got one shot. If you don't set it at a fairly high level
6 that ultimately will handle the continuum of care, you're
7 going to have a lot of short-term energy around bundled care
8 that is going to create a lot of anxiety behind doctors and
9 the juice-to-squeeze yield in the long term isn't going to
10 be there and we're going to spend a lot of energy without a
11 lot of the dollars.

12 So I think the important part of this concept
13 relative to the others is that it gets us to organize at the
14 right level in this next phase of health reform and I think
15 that's a very important concept.

16 Now, the voluntary side, I think, is extremely
17 important. I actually am not in favor at all of engaging
18 the patients at this point. It's another disruption,
19 another confusion, another -- I would rather have the data
20 shown to me and how I'm doing, what it looks like, even if
21 there's no bonus, it's kind of like showing your readmission
22 rates. To show the data for an institution is very

1 powerful. I can tell you, CMS core measures came out, no
2 payment tied to it. Guess what? It's improving.
3 Readmission rates now are starting to -- we understand our
4 readmission rates much more than we did a year ago. Simply
5 getting that scorecard out in front will have some powerful,
6 I think, implications.

7 So you can see I'm kind of headed towards
8 definitely an ACO as a key model on a voluntary basis. Get
9 the data flowing and we'll figure out through that data how
10 to then get the bonuses aligned. But we will have set the
11 right structure in place if we believe hospitals and
12 doctors, which I think need to include the specialists, not
13 just the primary care.

14 Now, why do I -- last comment on this. We do have
15 a, what you would call a PHO. We have a community hospital,
16 a big teaching hospital. We have private physicians. We
17 have full-time faculty physicians. That organization right
18 now does all of the contracting. We have some capitation
19 arrangements. Granted, they are not enough -- while we
20 handle the capitation and hand it out, it hasn't changed the
21 fee-for-service culture, but it's there. We're a
22 participant in PQRI. We have HEDIS measures, even though

1 we're not a health plan.

2 And so we kind of have the structure, and I think
3 I said at the last meeting, bring it on. I'd love to see --
4 and it's physician-driven, even though hospitals are a
5 partner, a physician chairs that board. We meet every
6 month. We'll look at our PQRI results next Monday. So I
7 kind of say, if you had that scorecard in front of me now,
8 I'm kind of organized to be ready for that. And so that
9 kind of model, not get into Medigap and, frankly, confuse
10 the Medicare beneficiaries at this point in time, that's
11 kind of the model that I'd favor.

12 DR. CASTELLANOS: You know, this is -- I think
13 Peter said there's going to be a lot of anxiety in the
14 medical community. Well, I think there's going to be a lot
15 of anxiety among a lot of us. I think it's fair for me to
16 say that we happen to be looking at this model and had made
17 some inquiries because we recognize we need to change. We
18 need to change the fee-for-service incentives. But then I
19 look in my community and my doctors in the community look at
20 me and say, why do you want to do this? Why do you want to
21 move away from what we call a very robust, perhaps overly
22 funded in some respects, less-risk program and to go into

1 something like this? You know, they say, well, what
2 incentive do I have just to improve quality and resource
3 use?

4 I kind of tell them, maybe it's going to be done
5 to us unless we are part of the solution, but I really don't
6 -- I would like to have explained to me a little bit better
7 than you have, what's the incentive for the physician to
8 give up this robust fee-for-service program with less risk
9 and accepting risk on a financial and a quality on a patient
10 that may leave my control and go somewhere else for three
11 years and yet I'm still responsible?

12 I think a lot of this -- and Peter really put it
13 nicely -- anxiety around the physician, but there's going to
14 be a lot of anxiety around the hospital. There's going to
15 be a lot of anxiety around the beneficiary.

16 MR. HACKBARTH: Do you want to take a crack at
17 that? I have something I want to say on that.

18 MR. STENSLAND: Go ahead.

19 MR. HACKBARTH: I think that you gave them the
20 right answer, because it's going to happen to you
21 regardless. You can either organize and try and deal with
22 the problems or you're going to get squeezed another way in

1 an unorganized system. To me, that's one of the central
2 conclusions that I've reached about this.

3 I don't think you can require people to form ACOs.
4 I think you've got to do a voluntary thing. This is a
5 challenge. You're talking about redefining relationships
6 among people who haven't worked together, and so I think it
7 needs to be voluntary. But I think a corollary of that is
8 that there needs to be pressure on traditional Medicare as a
9 complementary force, and that strengthens the incentives to
10 participate and to do well. So I think that's part of the
11 puzzle, and so I think you gave them the right answer, Ron.
12 It's going to happen on both traditional Medicare and here.
13 These actually give you an upside opportunity to win,
14 whereas the squeezing on traditional Medicare is all
15 downside.

16 DR. CROSSON: Thank you, and thank you, Jeff and
17 David, for the work. As Glenn does, I strongly support
18 this. I think this is, as Glenn said, extremely important.
19 Even if Congress weren't looking at it right now, it's still
20 important because I think it represents the right direction.

21 In terms of the alternatives that we have been
22 discussing, I think I agree with Peter. My general sense is

1 that a voluntary model probably of some sort probably makes
2 more sense than a mandatory one. The nature of the change
3 is going to be difficult enough for people to accept without
4 necessarily right at the beginning feeling like there's a
5 strong arm here.

6 I do think, on the other hand, that if it's going
7 to work, specialists need to be part of it, as Peter said.
8 Otherwise, you lose the strongest lever over the largest
9 producer of the costs. And if you're trying to save costs
10 and you don't have the specialist involved, and particularly
11 if the primary care physician has no leverage over that,
12 then I think you probably have created a weak system.

13 I think, in addition, the hospitals have to be
14 part of it, as was part of the definition. I think the two
15 weaknesses, parenthetically, of the medical home model are
16 just those, that there is no particular mechanism for the
17 primary care physician to influence, directly, anyway,
18 influence specialty costs and there's a relatively weak
19 relationship in most medical home models with the hospital.

20 I also think, as Peter said, that although
21 probably in an analysis we're going to be forced to look at
22 incentives in a relatively simple sort of manner, in fact,

1 the creation of these sorts of organizations is going to
2 create a much more complex set of incentives. I hesitate to
3 tread into the area of behavioral economics, but in fact,
4 once you've created these sorts of models, you then develop
5 other types of incentives.

6 For example, peer pressure, the influence of other
7 physicians on physicians in terms of the welfare of the
8 enterprise, the goal in the end, in the long term, that
9 individuals have to see that the enterprise is maintained
10 and the pride that can exist in an enterprise, in this case
11 an ACO, that is, in fact, improving and does well. And
12 those are subtle. They're soft and not measurable. They
13 wouldn't be scored. But in the end, in many ways, they're
14 at least as important for many, many professionals, perhaps
15 not everyone.

16 The other thought is that we have to think about
17 the nature of the change that we're talking about here, so
18 kind of sort of envision this as a table with the vertical
19 axis being payment methodology, for example, with pure fee-
20 for-service at the bottom and, say, pure capitation at the
21 top and the horizontal axis being the structure, which is
22 completely disintegrated to completely organized with all

1 elements in there.

2 And what we're embarking on, I think, with this
3 set of recommendations is the idea that we think the
4 delivery system needs to move from the Southwest corner, if
5 you will, to the Northeast corner, and I'm not sure how that
6 works on the Dartmouth Atlas, but it seems to me that
7 there's no way that that change is going to occur rapidly
8 and there's probably no way that that change is going to
9 occur linearly. It's going to occur most likely with step-
10 wise changes, probably starting with payment changes, which
11 then evoke changes in structure, where if, for example,
12 physicians and hospitals come to work more closely together,
13 and then that allows further changes in payment that move
14 more towards the sort of prospective withhold partial
15 capitation model, which creates then stronger incentives and
16 the like.

17 And I think we need to realize that that kind of
18 change, if that's the change we have in mind, and it
19 certainly is what I have in mind, is going to take time.
20 And what we ought to be thinking about is constructing how
21 we speak about it in that way and then making
22 recommendations for the first step or two in such a way that

1 it at least heads in the right direction and not think that
2 we can solve every problem, or that we can even understand
3 the evolution of the best model, or that the best model is
4 going to be exactly the same in Manhattan as it is going to
5 be in Minneapolis or as it's going to be in Miami or
6 wherever.

7 The only last comment I'd make is with respect to
8 the MSA, or the MA-PSO model, I think the question of the
9 readiness or the willingness to develop the capabilities to
10 utilize such a model, given a rethinking of Medicare around
11 how it might share risk, might very and there might very
12 well be -- I do believe, in fact, there are organizations
13 and organizations that could form that would respond to that
14 and could do that. There's no reason why we couldn't move
15 in both directions, so that that model, it would seem to me,
16 which already exists in law, I think, although it's not
17 being implemented right now, could be made open and could be
18 improved for those organizations that do have the capability
19 and willingness to do that.

20 MR. HACKBARTH: It could be one of the
21 evolutionary steps, developments, as you say, that occurs
22 with time.

1 Okay. Let's just do a time check. We were
2 scheduled to end at ten. It's ten after ten. I've got five
3 people on my list -- John, Jennie, Arnie, Mike, and Karen.
4 I think this is an important topic and so I'm going to
5 extend on this. My apologies to Anne and Rachel, who are
6 going to have to shorten those sessions correspondingly, but
7 we need to cover this thoroughly. But please, those of you
8 in the queue, keep in mind our time constraints.

9 MR. BERTKO: All right. Yes, sir, I'll be
10 concise. So the first thing I'd like to do is say that some
11 of the bonuses, and Jeff and David are correctly worried
12 about how they're attenuated, would actually be leveraged.
13 I'll take Peter's enthusiasm as an example and say that
14 hospital plus primary care -- and I would suggest a subset
15 of specialists -- would be where you derive the savings, and
16 thus paying back to a smaller-than-everybody group makes the
17 bonuses proportionately a little bigger. And Peter here,
18 being an early doctor in my example, begins eating the lunch
19 of his competing hospitals across the street and ten miles
20 away. He has reduced utilization. He has reduced his
21 variable cost and covered some of his fixed cost, so he
22 still has a pretty good incentive there.

1 Secondly, I would say that I personally like the
2 idea of the enrollment model, just as Glenn and others have
3 worried about. But I would contrast it with what Bruce was
4 worried about of what I'll call a population health-based
5 model. And to the extent that you describe to a community,
6 and I'll take my small community up in Flagstaff, you are
7 now responsible for basically everybody in town and you get
8 a bonus if you do it right, that this could actually be a
9 reasonable incentive. Enrollment-based would work better,
10 no doubt, but the population base and the stickiness of
11 people has been demonstrated to be in that 80 percent range
12 or so, even though you can migrate out to use the academic
13 medical center that, Bob, you were describing earlier.

14 Again, I congratulate you two guys and Glenn,
15 maybe, and Mark on thinking about this SELECT model, so I
16 will propose one more variation of it, which is you get the
17 big Medigap player in town, or in the State or a region,
18 usually a Blue, and you say, let's convert everybody into a
19 version of SELECT, and maybe it's a new product and maybe
20 it's a rollover of everybody into it. That solves the
21 problem. And to Jennie's worry about choice, again, it's an
22 opt-out. It's like Part B as in Bravo. You get a form

1 which says you're now in this product. It's going to save
2 you money. And if you want to go pay the old high premium,
3 you can sign the form on the back.

4 The very last thing is I would be careful and
5 maybe remove the idea of an ACO becoming a Medigap SELECT
6 plan for all the reasons that we've talked about. It just
7 didn't work in the past. I had some personal experience
8 trying to bring up PSOs, and the moment hospitals and
9 physicians begin saying, we've got to pay attention to all
10 this stuff and we've got to deal with the DOI, it's like,
11 we're done. Sorry.

12 MS. HANSEN: All right. Two comments and a
13 question. The comment relates to the beneficiary, and I
14 think perhaps another dimension. I think talking about why
15 would doctors have any incentive to change, and then I was
16 thinking from the beneficiary, what benefits the
17 beneficiary. I think one view I would put is to have the
18 beneficiary not feel that they're a walking bar code. In
19 other words, you've got another test. You go in the
20 hospital. You get some more medications. The idea of
21 getting care that's right for their best benefit. So I just
22 wanted to put that bit on the table. Why would the

1 beneficiary want to be in anything? It's transparent to
2 them as to what it is. Choice is important, but bottom line
3 is they would like to get probably the best and as little
4 care as necessary so that they can basically live their
5 lives.

6 The provider change is a second comment, and that
7 is I'm struck by the physician piece. It takes -- having
8 operated what is really probably closest to an MA-PSO in an
9 integrated capitated system with Medicaid, as well, I notice
10 that the physician behavior in order to do this culture
11 change really takes time to knit together and really focus
12 on the care coordination and the most efficient use of
13 resources. When we went out and contracted with private
14 fee-for-service physicians, that interface of culture was
15 very different, and understanding what the motivation and
16 the incentives were for the fee-for-service physician was
17 something that our plan had to figure out -- and did -- in
18 order to do that. But I must say that this culture change
19 component that I think Jay alluded to takes a long time in
20 order to have two different countries, so to speak, come
21 together and figure out what that bridge really is. So I
22 wouldn't give that part short shrift at all.

1 And then the last question that I have is when I
2 heard about, thinking about the medical home vis-a-vis
3 probably as one of the core possibilities of an ACO, not
4 required, but it certainly seems like it would be an
5 advantage, it would be one of the areas that made me think
6 of all the different things that we study and write about,
7 whether it's episodes of care, pay-for-performance, medical
8 homes, ACOs. And I notice that they each generate
9 conferences. But along the way, the silo approach and the
10 fact that they're really related in some form, I wonder if
11 we've ever thought about developing a schematic and seeing
12 how some of these things really are kind of subsets or
13 related to the other so that at the end of it, we're about
14 care quality and volume control with the dollars associated,
15 and just to see how they articulate and sometimes are
16 synergistic and sometimes have a little bit of conflict so
17 that we don't, as I think Peter said, get all the angst
18 worked up with lots of people thinking about these models
19 and getting consultants all into developing consultation
20 when, in fact, some of these things could be thought through
21 with these conceptual models that we're offering a little
22 bit more logically.

1 DR. MILSTEIN: I'm very supportive of this line of
2 development. I think it's important to reflect on the fact
3 that the prize here is motivating the agent with the most
4 authority in a health care system to continuously innovate
5 and discovering better, less expensive ways of delivering
6 care, and then using sort of the lessons from complex
7 systems, trying to make sure that you have the fewest number
8 of rules as you move forward.

9 I have to say that I'm very impressed with the
10 incidence of failure in the Medicare demos, and for that
11 matter, the incidence of failure in Medicare Advantage plans
12 to essentially deliver on what they were after. It's caused
13 me, among other things, to become more humble in my views as
14 to what it is that would achieve -- that would sort of
15 ignite American physicians to be much better and much faster
16 at discovering better, less expensive ways of delivering
17 care.

18 And so what I've tried to do is look at those
19 delivery systems that are actually achieving what we're
20 looking for and then studying what they're doing. And what
21 I would extract from three years of such study relevant to
22 this discussion is that there are two things that I think

1 it's very important that we get right. Number one is
2 harmonizing physician incentives to improve value across all
3 patients that a physician is seeing, or as many as possible
4 as opposed to --

5 MR. HACKBARTH: Not just Medicare.

6 DR. MILSTEIN: Yes, and I think that's very
7 important.

8 And then, secondly, giving physicians flexibility
9 in terms of how they innovate, who their partners are,
10 because there have been -- I saw a number of examples, for
11 example, in which physicians were affiliated with a
12 hospital, but over time, that hospital turned out not to be
13 the best value. And it's the ability to switch that was
14 very important to their ability to continuously deliver
15 better.

16 So with those reflections in mind, I guess I would
17 raise three questions for our consideration. Number one is
18 since getting as many patients into -- I'm sorry, improving
19 -- increasing the number of patients whom physicians regard
20 as being in programs that are aimed at this objective,
21 should we entertain as one of our options assigning all
22 enrollees, all Medicare enrollees who don't -- I'm sorry,

1 all Medicare beneficiaries who don't connect with a
2 voluntary ACO to a default involuntary ACO, so kind of we
3 get all of the Medicare beneficiaries in, appreciating that
4 the mandatory version has some disadvantages, but that way,
5 at least, you have all Medicare beneficiaries -- the
6 providers treating all Medicare beneficiaries aimed at the
7 same objectives. So that would be one idea for
8 consideration. So it is assignment of those beneficiaries
9 that do not enroll in a so-called voluntary ACO to then be
10 auto-assigned into a so-called mandatory. That's idea
11 number one.

12 MR. HACKBARTH: Yes. Can I --

13 DR. MILSTEIN: Yes.

14 MR. HACKBARTH: -- just to make sure I understand
15 that, Arnie. So when we talk about voluntary ACO, we're
16 talking about voluntary to the provider.

17 DR. MILSTEIN: Correct.

18 MR. HACKBARTH: They can choose to be paid under
19 these payment rules or traditional Medicare. So the
20 voluntary is provider voluntary.

21 DR. MILSTEIN: Exactly.

22 MR. HACKBARTH: And the underlying concept is,

1 it's basically invisible to beneficiaries.

2 DR. MILSTEIN: Right.

3 MR. HACKBARTH: So now with that as the
4 foundation, I'm trying to understand what it means to assign
5 beneficiaries who are not in a voluntary ACO to an ACO.

6 DR. MILSTEIN: It's simply a way of enabling all
7 providers serving Medicare beneficiaries in a given
8 geography to mentally feel that they will -- they, the
9 providers, will benefit if they discover higher-quality,
10 less-expensive ways of taking care of the patients.

11 And so what I refer to is let's say in a given
12 geography, 30 percent of Medicare beneficiaries are
13 participating in ACOs that are provider voluntary. I'm
14 suggesting that one way of synchronizing physician
15 incentives would be to say for the other 70 percent, those
16 enrollees are essentially -- then automatically participate
17 -- participate in what we are calling a provider mandatory
18 ACO.

19 What I'm trying to do is come up with a solution
20 whereby --

21 MR. HACKBARTH: I think I understand.

22 DR. MILSTEIN: Okay. What's a little confusing is

1 the provider voluntary versus enrollee voluntary, and I'm
2 trying to stick with the language we started out with, which
3 is voluntary and mandatory applied to provider, not to
4 enrollee. So that's idea number one.

5 The second idea is should we consider not
6 requiring every ACO - physicians in every ACO to include a
7 particular hospital, so that the physicians have the
8 flexibility of switching hospitals if, over time, they find
9 that a different hospital is going to better serve their
10 innovation objectives. So that's idea two.

11 And idea three is, and I really -- well, I just
12 have to say it. Let me go back to where I started, which is
13 this works best if physicians are facing the same incentives
14 over as many patients as possible. So idea number three is
15 should we consider extending certain CMS benefits to
16 commercial payers that agree to harmonize their ACO program
17 with Medicare's? So we essentially have a world in which
18 American physicians are facing a uniform set of goals of
19 better, less-expensive health care.

20 Nancy is saying, well, for example, what do I have
21 in mind? I'll put the least controversial and then I'll
22 leave it to your imagination for the more controversial.

1 But the least controversial would be, for example, no
2 balance billing rule. That's something that is attractive
3 to -- would be attractive to many private payers. That is
4 that if there is a dispute over how much is owed between the
5 payer and the provider, the patient can't be leveraged
6 through collection agencies. It's a minor problem for
7 private payers.

8 And there are obviously other benefits. For
9 example, probably on the more controversial end would be
10 what happened in patient private fee-for-service, where the
11 payers were allowed to pay Medicare rates. I realize on the
12 commercial side that could be a problem. So maybe some 100-
13 and-X percent of commercial rates. You fill in the blank.
14 But I'll just put benefits for harmonization to commercial
15 payers, and then the nature of those benefits, it's probably
16 better that I not lay that out, because I think there are a
17 lot of options.

18 And my last comment is that the demos and the
19 Medicare Advantage plan show us the low probability that
20 whatever we come up with is going to work. I mean, let's
21 face it. The failure rate in terms of Medicare Advantage
22 plans who are not saving the government money or improving

1 quality and the percentage of demos, that despite best
2 design efforts and enthusiasm of the leaders were supposed
3 to add a lot of value didn't.

4 And so my notion would be, again, is there an
5 opportunity for us to study a little bit more of what's
6 working. I mean, for example, take a subset of Medicare
7 Advantage plans that even in relatively low-cost areas are
8 below Medicare benchmarks and getting good quality. Can we
9 take private sector exemplars, like the State of Minnesota
10 Employees Health Plan, that have implemented their own
11 variant of ACOs, and actually have positive results, lower
12 spending, lower trend, so that as we make our bets, they can
13 be maximally informed by what is working elsewhere?

14 DR. CHERNEW: Thank you. So my view of this
15 loosely is there is probably a set of parameters that we
16 could come up with which would make this a really good idea,
17 and in reading the chapter, I'm not sure what that set of
18 parameters are and what the details are.

19 So I've tried to do some math. I didn't do a good
20 job. It's not surprising that my favorite parts of the
21 chapter are Table 2 and Table 5, which are the tables that
22 try and work through some of these exact examples. But I'd

1 like to ask a question relative to a benchmark that I have
2 for ACOs. So here's how I see them, and John may correct me
3 or you may correct me, but let me give you an example of a
4 type of ACO program which is not what you're recommending
5 and not what I'm advocating, and I'll say that again to be
6 clear. This is not what I'm advocating and not what they're
7 recommending, but at least it helps me as a benchmark.

8 Imagine a world in which every ACO, voluntary or
9 not, was capitated, had a capitated target. If they
10 conserved utilization so they were more efficient in a
11 Bertko way of more efficient, they would get a really big
12 bonus. They would get all of that bonus. That would be
13 funded not by fee-for-service rate cuts. That would be
14 funded by the savings associated with the cost, which is
15 what I think John was pointing out, and that's how they get
16 their bonus, and that's about as strong a bonus as I think
17 you could get. If you wanted to do that in anything else
18 that we talk about in terms of a bonus, I think it almost by
19 definition has to be weaker than that.

20 The disadvantage of capitation, which is well
21 known, of course, is if for reasons -- because they didn't
22 do a good job or sort of reasons of no fault of their own --

1 their experience is higher than that capitation rate, they
2 take the entire risk, which, of course, they hate. And so
3 the idea is to have an ACO that in some sense mitigates that
4 risk if they go over whatever this particular target is. And
5 in your tables, like Table 5, I think, for example, you have
6 this target, but it's not clear how the target's set and the
7 numbers always kind of work out in the end so it looks like
8 it's good. But I think if you told me the formulas, I could
9 go through and give you some behaviors where it might not
10 work out quite that good, depending what the actual
11 parameters were.

12 So what I'm worried about or what I see in terms
13 of the voluntary-mandatory and how this works is imagine
14 you're a plan or an organization like Peter's and you're an
15 ACO. So on one hand, you have some bonus if you do a good
16 job by sending the person to watchful waiting instead of
17 taking out their spleen or whatever it is you were going to
18 do.

19 [Laughter.]

20 DR. CHERNEW: Do they do that?

21 [Laughter.]

22 DR. CHERNEW: I don't even know. Do people have

1 spleens?

2 [Laughter.]

3 DR. CHERNEW: But in any case, whatever they're
4 going to do, they do something more efficient and then that
5 helps them and they can save money. So that's sort of one
6 option, which is never take an image of anybody.

7 The other option is, in sort of the Ron sense, to
8 do whatever they are doing in the robust fee-for-service
9 world. And the challenge is sort of to work out a set of
10 parameters so the more conservative, hopefully higher
11 quality -- and you have set it up with good and poor quality
12 in your tables -- is more profitable than doing the not-so-
13 right thing. Over time, that has to be the case, that the
14 not-so-right thing becomes less profitable, because over
15 time, if this is going to be effective, there has to be a
16 growing gap between the profitability of just run with the
17 system, whatever percentage per year, versus the sort of
18 bonus model.

19 So when you weaken the bonus, make it weaker than
20 the capitation bonus for doing the good thing -- in other
21 words, in your models, I think you use 80 percent gain
22 sharing. You picked some number. So you weaken the bonus

1 relative to capitation. So that says, you know, I don't get
2 quite so much. I don't get to save all of the cost savings.
3 I get 80 percent of it or whatever it is, relative to the
4 profit if they do it.

5 And working out the math of that to understand
6 what the alternative is, I think is the key thing to making
7 this work, because I think relative -- Glenn made a comment,
8 which I agree with completely, is the problem with putting
9 everyone into an MA plan, which has this capitated risk
10 feature to it, is that not all provider organizations want
11 to take on all of that risk. And so I think we collectively
12 are struggling with how much risk to give them. The more
13 you fiddle with that, what happens if they get above how
14 much fee-for-service, they're going to face some risk.

15 And so I think the challenge in going forward and
16 the challenge in why it's hard for me to answer the
17 questions right now is I still am not completely sure about
18 exactly what's on the table and how we're going to walk that
19 line between making it strong enough so it works but weak
20 enough so not so many people have risk and stuff.

21 I guess my view is that I'm hesitant to do things
22 that are mandatory until I know more. I like the idea of

1 doing things voluntarily. I like the idea of ways of
2 exploring how to make what I believe is loosely a new type
3 of MA sort of plan, which is what these big voluntary
4 organizations would be, with some risk mitigation component.

5 So I think there is something positive in going in
6 that direction and I think we have to think about what the
7 exact incentives are, and I agree with Glenn's comment
8 strongly, which is the voluntary/non-voluntary nature of
9 this has a lot to do with what the alternative is. And so
10 per the silo comment, we have to think about this not just
11 in terms of medical home, but there should be a
12 comprehensive sense of how we're going to face the quality
13 problems that we want to improve and how we're going to deal
14 with the fiscal challenges, how we're going to make sure
15 providers have enough money to provide good quality care,
16 that the country has enough money so we actually don't sink.

17 And so I think my final comment will be, I just
18 think we need a little more work on exactly what the details
19 we have in mind are of this before we can go say ACOs are
20 good, because I think there's a certain type that probably
21 is good and there's a certain type that's probably not and
22 I'm not sure we're quite there yet.

1 DR. BORMAN: I certainly am not going to elucidate
2 or articulate an elegant economic discussion. I do have a
3 couple of comments that I think I can bring some expertise
4 to bear on.

5 One is I, too, am a bit concerned, although
6 perhaps in a different way, about the specialist piece of
7 this model as presented, and I'm concerned for multiple
8 reasons, but the most cogent, I think, probably are: Number
9 one, I've heard several people espouse restricting access to
10 specialists. I think that, frankly, that was one of the
11 biggest backlash items of previous experiments in this area
12 and I would be extraordinarily cautious about doing that.

13 On a less psycho-babble way of looking at it,
14 perhaps, is the issue that for both my primary care
15 colleagues and myself, I have great concern about setting
16 somebody up to be an arbiter of a body of knowledge that is
17 ever expanding while their own body of knowledge is ever
18 expanding. I do think that an expectation that there will
19 be a group of individuals who will have sufficient knowledge
20 to make some of the judgments implicit here when the
21 challenge of their own field is exploding on a regular basis
22 may be a bit of a stretch.

1 And so for those two reasons, I'm a bit concerned
2 about how the specialist piece of this plays out.

3 The second piece is that it's very clear that we
4 need to constrain costs. Quite frankly, and I think Ron
5 alluded to a little bit in his conversation, that is not an
6 intrinsically obvious piece to many parts of our health care
7 delivery system, including physicians and beneficiaries
8 both, I would say. You know, a sense of a bigger picture
9 that there's real bankruptcy and it's in our face is not
10 something that's universally kind of on the table, like
11 opening your Cheerios box is on your table in a very
12 concrete way.

13 So I think that that being said, that I also think
14 that at least the physician community, who along with the
15 hospitals are the biggest chunks of the program spending --
16 one of the very natural reactions, however, is going to be
17 there appear to be some other system pieces that hold some
18 low- to medium-hanging fruit and I think that things like
19 competitive drug pricing, durable medical equipment, some of
20 those kinds of things where there are demonstrable savings,
21 some of which have been the subject of conversation at this
22 Commission, are very legitimately going to be push-back

1 kinds of comments that -- I think if we're all going to say,
2 universally, we need to constrain costs, universally, there
3 is going to be some pain and it will need to be shared pain,
4 then it does truly need to be shared across the system, and
5 leaving Part D or biologics or whatever it is out of this as
6 sort of a piece of the puzzle, I think will make this more
7 difficult to engage folks in. And so I do think that to
8 engage physician colleagues, we are going to need to be able
9 to show that there is a multi-pronged attack on cost
10 constraint, not just the world of the physician.

11 MR. HACKBARTH: Okay. Let me just make a few
12 comments. My apologies to Anne and Rachel.

13 I've been trying to figure out how to label these.
14 My working label is ACO design principles, but "principles"
15 somehow sounds too high-fallutin' for what will follow, so
16 don't hold me to that.

17 Number one is I think that we can't afford to put
18 all of our eggs in one basket, and I think this is similar
19 to some of Arnie's thoughts. As much as I personally
20 believe that more organization of care is the path out of
21 the wilderness, I don't think, Peter, we can say, well,
22 we're just going to do ACOs and we're not going to do

1 bundling, we're not going to do the other things. Your
2 point is a very important one, that we run the risk of
3 diffusion of energy and effort, not just among providers but
4 CMS and the Congress -- I know that's an argument that
5 Elliott and John and Mark McClellan have made, but at the
6 end of the day, I just don't think we're confident enough in
7 this particular basket that we want to put all of our eggs
8 in it. There's just too much uncertainty about how it will
9 develop. That's my view.

10 Second is I think it's very important to move in
11 steps, as Jay has said, and I agree with Peter's point that
12 the first step of feedback to people about how they're doing
13 is potentially a powerful one in its own right. And rather
14 than sort of rush through that, I think we should take some
15 care to do that and do it well. I think there will be some
16 benefit.

17 Second is that, for reasons I described earlier, I
18 think participation as an ACO must be voluntary. We're
19 talking about forging new relationships among actors,
20 relationships that have evolved this way over decades. To
21 say that everybody's going to do a certain thing quickly, I
22 just think is unrealistic in that context.

1 I also agree with what several Commissioners have
2 said that we need to be flexible about what an ACO is and
3 what the exact form of the organization might be. I don't
4 think that any individual physician should be required to
5 participate with an ACO. I think that would actually be
6 detrimental. I think you want people in who want to engage
7 in this task and see some benefit in it. I can imagine
8 that, over time, different varieties of ACOs might develop.
9 Some might be managed by a hospital. Some might be a
10 hospital-physician joint venture. Some might even
11 eventually involve a private insurer and we should allow
12 that evolution to occur over time and respect that we don't
13 know the right answer at the front end.

14 Next is I don't believe that we should lock
15 beneficiaries in. For beneficiaries who wish to go into a
16 closed system, make an enrollment decision, we have Medicare
17 Advantage, and I believe with a different pricing mechanism,
18 we can get more productive organizations in Medicare
19 Advantage, which offer truly meaningful choices to
20 beneficiaries who are willing to lock themselves into closed
21 delivery systems. This path is one for those beneficiaries
22 who wish not to be locked in. That's what we're trying to

1 create here.

2 Next is I think ACO needs to be the place where we
3 begin to address these equity issues that have been
4 simmering beneath the surface and increasingly are bubbling
5 up in very prominent ways, and there are two types of equity
6 issues that I'm thinking about. One, the inequity for
7 health care providers who have actually been engaged in
8 trying to make health care better and more efficient and
9 have received not only no reward from Medicare for doing
10 that, they have actually been penalized for it.

11 And so when we set targets, one idea that's
12 floated around has been, well, you set the target based on
13 ACO's specific historic costs, which basically says to those
14 organizations, thank you very much for all you've done.
15 You're not going to get any reward for it. You've got to
16 improve from your already low level while the providers who
17 are at the other end of the continuum are going to get to
18 reap a windfall. That's not right, it's not just, and I
19 think it's counter to any principle of reform that I know.

20 Another equity issue is this regional inequity,
21 and nobody's been more vocal than I in saying that Medicare
22 Advantage is not the proper vehicle for addressing regional

1 inequity. It needs to be done in traditional Medicare.
2 Here's the place that I think we need to try to make good on
3 that. Where it comes in in my view of ACOs is I think ACOs
4 need to be voluntary, because I said earlier that needs to
5 be coupled with restraint on traditional Medicare so that
6 we've got complementary forces there, and a restraint on
7 traditional Medicare, I think, has to be higher for
8 providers that have had high historical costs than for those
9 who have had low historical costs. We've got to start to
10 squeeze differentially in traditional Medicare.

11 Next is -- I'm trying to do this as quickly as
12 possible -- next is that, as John and Arnie and a number of
13 people have said, I believe that, ultimately, the success of
14 this will hinge on private payers moving simultaneously in
15 the same direction, and if the typical hospital-based ACO
16 has 30 percent Medicare revenues and they're getting some
17 share of their savings on 30 percent of the revenues and the
18 underlying payment system is still fee-for-service, the
19 incentives to make really important changes in how they
20 organize and deliver care are just too weak. We need to get
21 not 30 percent involved in this game, but as close to 100
22 percent as we can get.

1 Now, I don't think that there's any simple
2 mechanistic solution to getting private insurers involved in
3 this. At least I don't know of any. But I think the step
4 of data disclosure at the front end is important in laying
5 the groundwork for that, because that information will
6 become available to private insurers eventually, as well,
7 and they'll start to say, well, boy, this is where I want to
8 try to steer my patients and here is the groundwork for a
9 shared incentive system with those institutions. I can use
10 Medicare's model as a starting point for that conversation.
11 And I think the faster that happens, the more powerful this
12 can be as a tool.

13 So there are my thoughts, and I think there's
14 substantial overlap with what I've heard from other
15 Commissioners, and that's probably because I've been
16 informed by what I've heard from other people as we've
17 worked through these issues. I guess that's all I have to
18 say.

19 DR. KANE: Just as to your getting private payers
20 to follow, perhaps we might want to consider recommending or
21 looking into the recommendation of encouraging all-payer
22 State Medicare waivers and thinking about what that means

1 and how to facilitate those.

2 MR. HACKBARTH: Thank you very much. Great work
3 over a series of meetings on this.

4 Let's now turn to follow-up on previous
5 discussions of bundling and episode payment organized around
6 hospitalization.

7 Again, Anne, I apologize for using up so much of
8 your time. Anne has graciously agreed to make some
9 adjustments in her presentation, and much of this is
10 informational, and it's important information. But we'll
11 try to keep our discussion limited. Thank you.

12 MS. MUTTI: So a year ago we made recommendations
13 on readmissions and bundling. In the interim, there has
14 been increased interest in this, so we thought it was a good
15 opportunity to come back and update you. To be clear, there
16 is no June chapter in the 2009 report, and we're obviously
17 not looking for recommendations.

18 Just to refresh you, we came at this issue because
19 Commissioners were concerned that the health care delivery
20 system is fragmented, care not coordinated. Commissioners
21 expressed frustration with our siloed payment system in fee-
22 for-service and the fact that it reinforces fragmentation

1 and drives volume.

2 We focused attention hospitalization episodes for
3 a couple of reasons. First, it's a particularly vulnerable
4 care juncture for our beneficiaries where a change in
5 incentives could really improve the quality of their care.
6 And, secondly, it is a costly episode of care with a lot of
7 variation in practice patterns, and it suggested an
8 opportunity to reduce unnecessary utilization.

9 This table we showed you a year, a year and a half
10 ago. The point of it was to focus you on the fact that when
11 we look at an episode of care around a hospitalization, a
12 lot of the variation occurs around readmissions and post-
13 acute care. I'm not going to go through it any more than
14 that right now.

15 This is just to refresh you on what our
16 recommendation was on readmissions: that the Secretary
17 would reduce payment to hospitals with high readmission
18 rates; it would be for select conditions only; as part of
19 it, we would permit shared accountability, otherwise known
20 as gain-sharing. We encouraged the Secretary to look into
21 other approaches such as virtual bundling that may be a
22 little broader, in effect; and that as part of this,

1 information about readmission rates and service use around a
2 hospitalization episode should be made available first
3 confidentially and then publicly. These policies, ideas,
4 have been picked up both in the CBO budget options book as
5 well as the President's budget.

6 MedPAC also recommended that the Secretary conduct
7 a pilot to test the feasibility of bundled payment around a
8 hospitalization episode, and, again, we're talking about the
9 stay plus some time post-discharge, something like 30 days.
10 Similarly, it was for select conditions. The pilot was to
11 be voluntary only, and it was at a minimum to be budget
12 neutral. So savings or budget neutrality was a requirement.

13 So in surveying the environment around the issue
14 of bundled payment, we would just want to point out that
15 right about the time that we came out with our
16 recommendation on bundling, CMS announced its ACE
17 demonstration, and that demonstration seeks to bundle
18 payments for select cardiac and orthopedic inpatient stays.
19 And it's the inpatient stay only. They're not bundling that
20 post-discharge period that we talked about. And they were
21 setting the price for the bundle based on competitive
22 bidding.

1 Just real quickly, the sites have been selected.
2 There was only one in each eligible market, so the degree of
3 competition is a little limited. The kind of discounts they
4 got were in the range of 1 to 6 percent, and it varied more
5 by hospital rather than by condition. And that is supposed
6 to be up and running at least for three of the sites in May.

7 Bundled payment proposals also appeared in the CBO
8 budget book. A Commonwealth Fund commission has also
9 recommended this approach, and it was also in the
10 President's budget. I guess at this point I'd just say the
11 bundling proposals vary a bit as to what they include and
12 don't include, so in the next couple of slides, I'm just
13 going to take a little time to illustrate how it can vary.

14 DR. MARK MILLER: Anne, can I just say one thing
15 for the Commission and for the public? Another way to think
16 about what is happening here is this idea the Commission had
17 been talking about for a couple of years. We came out with
18 recommendations, and now the environment appears to be quite
19 fertile. People are now thinking about it in a number of
20 different directions, and Anne is going to give you some
21 more detail on that.

22 MS. MUTTI: Here I was just going to review what

1 some of the Part A and B care components are that could be
2 subject to bundling around a hospitalization.

3 First, there is the admission, and that includes
4 the hospital services, which in a sense are already bundled
5 under the DRG and also include care delivered 72 hours prior
6 to admission. So there is already a sense of bundling built
7 into hospital services. And also there is the physician
8 services that are delivered during the hospitalization, and
9 that is in that left-hand side of the chart here.

10 Then there is the care that is delivered in the 30
11 days post-discharge, and that can include readmissions,
12 post-acute care services, physician services, and other
13 services like lab services. We don't have the Part D drugs
14 on this chart, but we are aware that they exist out there.
15 But we've been focusing our attention on bundling for Part A
16 and B.

17 So currently all of these services are paid
18 separately, sort of piecemeal, if you will. The ACE
19 demonstration would bundle those services, and what we
20 recommended as part of our pilot was to bundle the broader
21 set of services.

22 In the CBO budget options book, there are two

1 other approaches to bundled payment. One is to bundle the
2 inpatient stay plus post-acute care in 30 days post-
3 discharge, and that doesn't include readmissions or
4 physician services either during the stay or after the stay.
5 Another option that they talked about was bundling the
6 inpatient stay with those physician services during the
7 stay. So what services are included in an episode is
8 clearly a design issue that people are thinking about.

9 Another consideration is whether bundling is
10 voluntary or mandatory, and in this first CBO option here,
11 the stay plus the post-acute care component, it is mandatory,
12 and the money would go to the hospital.

13 The Commission discussed a mandatory bundling
14 option, but decided that voluntary was more appropriate,
15 particularly when we were talking about our broader kind of
16 episode of the stay plus 30 days. But given, you know,
17 increased interest in this idea, we thought it might be
18 helpful to explore some variations on bundling that could be
19 possibly more palatable on a mandatory basis.

20 One is virtual bundling, and we discussed this
21 last year, but ultimately recommended that CMS study it
22 further. Under this, providers continue to be paid fee-for-

1 service but would be subject to a withhold. And so those
2 who are on average part of high-cost risk-adjusted episodes
3 would experience a payment penalty by not getting their
4 withhold back. Bonuses could be awarded to providers with
5 relatively low costs. So, again, everyone is paid fee-for-
6 service in this piecemeal approach, but everyone's payment
7 is adjusted based on overall average spending in the
8 performance period, and I've indicated the performance
9 period here with the dotted circle. The test for earning
10 back the withhold then could be both efficiency or costs
11 across the episode as well as quality measures.

12 There are several advantages to the virtual
13 bundling approach. It holds a variety of providers
14 accountable over an episode creating symmetrical alignment
15 of incentives, and it should in that way spur conversations
16 among care partners about coordination and reducing
17 redundancy. It is a broader policy than our readmissions
18 recommendation because it addresses variation in post-acute
19 care spending, not just focusing on readmissions. And at
20 the same time, it mitigates some of the concern with bundled
21 payment that we intended to be addressed in the context of
22 the pilot, and one of the concerns about bundling is that

1 without refined risk adjustment and solid quality measures,
2 we risk creating an incentive to stint on care. The
3 potential financial gain from withholding services can be
4 substantial under bundling. Under virtual bundling, the
5 gain from stinting is much less.

6 The disadvantages to virtual bundling is that in a
7 sense, by continuing to pay providers fee-for-service, it
8 doesn't allow for that payment flexibility, for that
9 flexibility in creating incentives that bundled payment
10 permits. For example, it doesn't allow Medicare payments to
11 be used for e-mails or for nurse home visits or other things
12 that Medicare does not explicitly pay for now, but under a
13 bundle, providers could choose to cover it and be more
14 innovative and perhaps stimulate greater efficiency.

15 Virtual bundling may also present some
16 administrative challenges to implement, but we're not
17 thinking that they are prohibitive. But we'd certainly like
18 to give that a little more thought.

19 Another possible approach is something we call the
20 hybrid approach, and the hybrid approach simply builds on
21 the virtual bundling, overlaying bundled payment for the
22 hospital stay onto virtual bundling. So this means that a

1 single bundled payment for all services during an admission
2 would be made to a hospital-physician entity. So these
3 services on the left-hand side would be bundled.

4 At the same time then, all these services across
5 the entire episode would be subject, say, to a withhold, and
6 it would be returned if you were a relatively efficient
7 group of providers. So it's a mix of a bundled approach but
8 for a more limited set of services than we've talked about,
9 but then overall holding people accountable for the volume
10 of services provided in an episode.

11 The advantages of the hybrid approach are that it
12 could induce greater efficiencies, much like were realized
13 in the bypass demonstration in the 1990s, where we saw some
14 reduced consults and lower hospital costs in the area of
15 ICU, lab costs, pharmacy costs. And it is a step toward
16 more comprehensive bundling. It is, you know, one step in
17 that direction, and it may be, therefore, a possible --
18 since it's a smaller scope of bundling, it may be more
19 possible to be a mandatory program-wide kind of approach.

20 Among the disadvantages are that, like any
21 bundling proposal where we're putting hospitals and
22 physicians together, it could increase admissions, and

1 that's because hospitals and physician incentives would now
2 be aligned, and they may be more inclined to admit
3 relatively low-severity, high-margin patients. We have a
4 couple of ideas to counteract that effect. I won't go into
5 that now, but it might be possible to balance that out.

6 As with any bundled approach, it could create the
7 incentive to stint on needed care. In this case, we're
8 thinking mostly in inpatient physician visits. That could
9 be mitigated by holding the providers accountable for
10 service use in that post-discharge period like we're talking
11 about as well as through quality measures. And the
12 magnitude of potential savings in this approach is probably
13 smaller than achieved by bundling payment across a longer
14 episode because here we are not bundling for those services
15 that we know have a lot of variability -- the readmissions
16 and the post-acute care. We are attacking that with a
17 virtual bundling approach, but it's not bundled, and so we
18 may have less savings opportunities.

19 I'm just going to switch gears here to say a bit
20 about looking at Medicare's quality infrastructure because,
21 regardless of which bundling variation or readmission policy
22 is adopted, an important consideration is how to support

1 learning of best practices and accelerate the pace of change
2 in practice patterns, because ultimately we want to promote
3 the success of providers in responding to these financial
4 incentives, not just have the opportunity to take some of
5 their payment. So we need to be mindful of the possibility
6 of creating payment policies where providers that are ill-
7 equipped to respond reduce services and in turn compromise
8 access to care in the community or the quality of that care.

9 At the same time, we don't want to lower
10 expectations on quality and affordability and not achieve
11 what is possible. So we could think about promoting an
12 effective quality infrastructure as a way to ensure that
13 capable providers have the tools to succeed and that
14 beneficiaries get that improved care. So as part of that,
15 we're thinking that as staff we might want to evaluate the
16 efficacy of Medicare's resources and regulatory requirements
17 in promoting quality improvement and system-ness, and that
18 would include taking a look at the QIOs, the accreditation
19 and survey process, and conditions of participation and
20 assess whether those resources are being maximized.

21 So, in conclusion, just talking about what staff
22 next steps could be, we could perform some data analysis to

1 assess the variations of bundled payment that I mentioned
2 here, also to look into some of the Part D issues, and also
3 we could investigate ways to improve the Medicare quality of
4 infrastructure along the lines I just mentioned.

5 MR. HACKBARTH: Thank you, Anne. As opposed to
6 going through two rounds, let's just have a quick one round.

7 MR. GEORGE MILLER: Just quickly, has the
8 Commission had the opportunity to do a deep dive to look at
9 all of the regulatory and statutory issues around pulling
10 all this together of civil monetary penalties, state
11 statutes that may have effect on bundling?

12 MS. MUTTI: Not a deep dive.

13 MR. GEORGE MILLER: Okay, because I am concerned
14 about whether we can all pull this off as a recommendation
15 without first dealing with the regulatory and statutory
16 issues around this issue.

17 DR. REISCHAUER: This is really a clarifying
18 question on Chart 11, the hybrid approach. I'm just trying
19 to figure out how this works. Everybody in the plus-30-day
20 column has a withhold, so a hospital in a situation in which
21 their readmission rate, because of good post-acute care and
22 physician services, for those readmissions that do occur,

1 get a bonus. I mean, there is a withhold and then a
2 payment. Their behavior --

3 MS. MUTTI: There could be --

4 DR. REISCHAUER: I'm just trying to figure out how
5 it works. I can see, you know, on the physician services as
6 opposed to acute care, what you have is fee-for-service
7 payment with a withhold. And then if at the end of the year
8 everything looks good, people get a dividend, in a sense.

9 MS. MUTTI: Right. And the withhold could be
10 applied -- the way we're envisioning it, the withhold would
11 also be applied to this new bundled payment, the inpatient
12 stay plus the physician, so that they, too, would be on the
13 hook for the volume of services in the entire episode.

14 Do you want me to say it again?

15 DR. REISCHAUER: No, I'm just thinking about the
16 readmission portion, and if the withhold is applied to it --
17 or you're saying it will go into the circle to the left?

18 MS. MUTTI: So if a readmission -- do you want to
19 jump in here?

20 DR. REISCHAUER: What if readmissions went to
21 zero?

22 MS. MUTTI: For that particular group of

1 providers, they would look pretty good across -- their
2 resource use would look pretty good across this larger
3 episode --

4 DR. REISCHAUER: I know they would, but I'm
5 wondering, does the hospital get any kick in that? And how
6 does it work?

7 MS. MUTTI: They could if we included a bonus
8 component to the program also.

9 DR. MARK MILLER: I think what she's saying is
10 that the withhold applies to the left-hand side circle on
11 that chart, and if everybody does well on readmissions, they
12 get it back. And if it's a bonus situation, they would also
13 experience the bonus. So, in other words, the hospital does
14 get a benefit from the 30-day episode if readmissions are
15 controlled. The hospital-physicians on the left --

16 MR. HACKBARTH: The withhold covers all of the
17 right column services.

18 DR. REISCHAUER: [off microphone] I know, the
19 right hand, but --

20 DR. MARK MILLER: [off microphone] It actually
21 covers everything.

22 DR. REISCHAUER: He said it covers everything. I

1 didn't know that. I thought that it was just -- you know.

2 MR. HACKBARTH: I'm sorry. I meant --

3 DR. REISCHAUER: A bundled payment for the first -

4 MS. MUTTI: I'm sorry. I wasn't clear. There's
5 the bundle but then it, too, is subject to the withhold.

6 DR. REISCHAUER: That is withheld, too. Okay.

7 DR. CROSSON: So, Anne, as I was listening to the
8 presentation, and this is in relationship to the non-virtual
9 bundling options or the part of the -- the non-virtual part
10 of that one, in terms of where the money actually is paid
11 to, at one point I heard you say hospital, and then at
12 another point I heard you say hospital-physician entity.
13 And I'd just like to stress that I think we should think
14 about it in the latter term, for two reasons:

15 Number one, I think at least when I've discussed
16 this notion with physicians, the biggest opposition isn't
17 really to the incentives created or anything. It's just
18 simply to the notion that the hospitals would receive all
19 the money, and then the physicians would be sort of one down
20 in that arrangement at the beginning. So that, you know,
21 creating the payment to some third entity -- and this would
22 not need to be complex. I'm not talking about creating a

1 structural entity like a PHO, but simply an agreement
2 between the physicians and the hospitals to apply for this
3 opportunity, if you will, or to receive the payment in this
4 way, would then allow the physicians and the hospitals to
5 work out how that would be done.

6 The second reason is I think that that discourse,
7 that dialogue, which could be easy or quite contentious in
8 the end, would create the basis for a dialogue between
9 groups that don't necessarily talk with each other all that
10 much.

11 So for those reasons, I think we might want to
12 think a little bit more about how we describe who gets the
13 money and what we mean, you know, when we're saying
14 physician-hospital entity.

15 MS. MUTTI: Just to be clear, as I've tried to
16 reflect what you all have said, we have always talked about
17 provider entities, joint provider entities, hospital-
18 physician entities. When I mentioned the hospital-only
19 approach of giving the bundle that way, I was just referring
20 to CBO's proposal, not ours.

21 DR. SCANLON: Just a comment on the idea of trying
22 to improve the quality infrastructure. We did about 30 or

1 so reports at GAO looking at sort of oversight on quality,
2 nursing homes, home health, dialysis centers, hospitals.
3 And I think one of the strongest messages coming out of that
4 is not so much that the methods that we have are deficient,
5 but that we never applied them. We've never given CMS and
6 the states the resources to actually go out and check
7 conditions of participation on a timely basis, and so you
8 don't know if the conditions are deficient or if it's just
9 the fact that agencies could -- we found home health
10 agencies that could go five or ten years without getting an
11 inspection, the same thing for dialysis centers. Nursing
12 homes are looked at more frequently, but not necessarily
13 frequently enough.

14 So there is a real issue here about whether we
15 need to think about using the methods that we've got
16 correctly as opposed to developing new methods, because
17 there is no issue that we need to improve the quality
18 considerably among some of the organizations participating
19 in Medicare.

20 MS. HANSEN: Thank you, Anne, on covering this
21 again and bringing it back. I was struck by a comment you
22 made on Slide 10 about some of the pros and cons of virtual

1 bundling, and the first one is the flexibility issue. It
2 just basically keeps the same structure, but it doesn't
3 allow perhaps more innovative or economic ways to achieve
4 the results that everybody would benefit from. And this
5 ties back to both George's comment about some of the
6 regulations that do exist, both on the federal and the state
7 level, and then Nancy's comment in the last section about is
8 there some kind of broader Medicare waiver that would allow
9 for this kind of flexibility.

10 So at some point, it's not just moving the
11 existing structures. It may call for other ways to do it in
12 terms of the efficiency of e-mail, you know, technology,
13 perhaps another kind of workforce that would help bring down
14 the cost but produce some of the results. So I do want to
15 highlight that that is -- whether it is just virtual
16 bundling or it's a broader concept that we have to keep in
17 mind.

18 MS. MUTTI: Right. I think that point is one of
19 the reasons why we have been so interested in bundled
20 payment, because it does allow that flexibility for
21 providers who are on the ground to make those kinds of
22 decisions.

1 DR. CHERNEW: First, to clarify -- and I think
2 this is right -- the bundled rate would include -- would
3 essentially reflect the average readmission rate, or
4 whatever it is, average resource use now.

5 MS. MUTTI: It could, right.

6 DR. CHERNEW: Okay, so something like that. And
7 then my question is: How do you envision the bundled rate
8 getting updated over time? And if organizations like Peter
9 are lowering their readmission rates anyway right now
10 because they're so shamed by -- not Peter's organization.
11 In a bundled payment rate, that savings gets captured by
12 Peter, and in the non-bundled payment, that savings gets
13 captured by the program. So it's just an arithmetic
14 question.

15 MS. MUTTI: Right, although you could design
16 bundling so that the program did get part of the savings.
17 One reason why I say it could, when you asked me is it just
18 the average, well, you could say we're going to assume that
19 you're going to do better, and so we're not going to give
20 you the average, we are going to go for the 40th percentile
21 or something like that.

22 DR. CHERNEW: And you could deal with Glenn's

1 issue that she was mentioned earlier on the ACO, which is
2 you don't want everyone -- so a bundled rate that's based on
3 the average penalizes the high readmissions rate and -- I'm
4 just trying to understand --

5 MS. MUTTI: But it doesn't necessarily get us
6 savings.

7 DR. CHERNEW: Right. So my only comment would be
8 at some point -- I don't know when -- knowing some of the
9 details about exactly what is put on the table for how the
10 bundling does work I think would be useful. But I agree,
11 there's a lot of possibilities to how to do it, and I think
12 conceptually it is a good idea to begin to move in this way.
13 But, again, if you're not careful how you do these ways, and
14 depending on what you assume is going on in the future, you
15 might actually not save -- you might actually save less
16 money than you thought you otherwise would have saved,
17 depending on what you think is going to happen.

18 MS. MUTTI: Right, and we did have some of that
19 conversation in our 2008 chapter on bundling.

20 DR. MARK MILLER: I'm going to summarize at least
21 one point that you have made very quickly here, which is,
22 you know, Anne has also in previous conversations talked

1 about the notion that you start with a readmission policy,
2 let that run for a few years, telling people that bundling
3 is coming. Medicare takes those savings. Then you build
4 the new bundle around a more efficient bundle. And she has
5 made that point in previous meetings.

6 MR. HACKBARTH: Any others?

7 MR. BUTLER: All right, we won't put all our eggs
8 in one basket, even though it's Easter on Sunday. Maybe we
9 will on Sunday. I am just saying there's more yield out of
10 the ACO than this level for me, and I think at this level
11 you also create some potentially toxic effect among
12 physician and physician relationships, more likely than ACO
13 level.

14 Okay. I have a couple specific recommendations
15 because my thinking around this has changed in the last
16 year.

17 I'm less excited about addressing episode than I
18 am the readmission rates head on, and a year ago, I would
19 have said, well, you know, doctors admit and discharge
20 patients, not hospitals. And nursing homes, you know,
21 offload their sick into the -- and there's not compliance
22 and all these other things. And I said, well, that's not

1 good enough. Hospitals can do a leadership role in here.
2 We recommended a year ago that we take three years to kind
3 of gradually publicly disclose. I would say today publicly
4 disclose the hospital readmission rates. It would get
5 everybody focused, and I don't -- you know, get on with it.

6 And then, second -- and this is maybe a stupid
7 idea, but in my simple mind, I'd say if you could have a
8 risk-adjusted rate -- so let's say you're 23 percent and
9 risk-adjusted -- and you're average. If you could give a
10 carrot and say, you know, you get down to 20 percent next
11 year, hospital, you keep the savings or half the savings or
12 a quarter of the savings. If you had a simple thing, it
13 would very clearly kind of align things, and you wouldn't
14 even necessarily have to have the physicians, you know, in
15 all these payment gyrations along with it, and suddenly we
16 have another scorecard and a carrot opportunity below a
17 capitated level, and I don't know, it just might -- that's
18 my crazy idea for this morning, but it would -- I think of
19 all the health reform buckets where they're looking at the
20 \$634 billion or whatever the number is up to, I think this
21 is a ripe opportunity, and I think there may be quicker ways
22 to get to it than the kinds of episode of illness things

1 we've got on the table.

2 MR. HACKBARTH: That's a very helpful comment. As
3 I said earlier, I don't think it's a good idea to put all
4 our eggs in one basket, but having said that, it's important
5 to look for the most streamlined way to deal with particular
6 issues, and straightforward readmissions, reward/penalty
7 opportunity is a lot easier to operationalize, I think, than
8 bundling, virtual or real. And the reason for looking at
9 bundling was the concerns that you mentioned, that, well,
10 the hospital doesn't have all the control, blah, blah, blah.
11 And, you know, it's helpful to hear it from somebody who
12 lives in that world to say --

13 MR. BUTLER: I say tough. It will force us to
14 look at all these relationships head on; particularly if
15 there is a carrot aspect of it, I think we could make a
16 difference. And these are the medical cases particularly
17 that are coming -- frankly, they aren't as profitable as
18 other ones, anyway. And you can grind down the rates and
19 say we will only pay you half for those, but you'd still
20 then say, well, their contribution -- you go through all
21 this rigmarole, then rather than having a flat-out
22 incentive, I think would be helpful.

1 MR. HACKBARTH: My understanding -- the striking
2 thing to me about the readmission date is the variation.
3 There's enormous variation, which always says to me if you
4 really are motivated, there are lessons to be learned. You
5 can find out what other people are doing to have much better
6 rates, and there are organizations like IHI and others that
7 are working with hospitals to try to identify what those
8 best practices are and the things that you can do And so a
9 simple incentive that says go for that and it's done in a
10 streamlined way is very appealing to me.

11 Thank you, Anne. I appreciate it.

12 Thanks to you also, Rachel, for your willingness
13 to streamline and put up with my poor time management.

14 DR. SCHMIDT: So last month, Chris Hogan presented
15 his analysis of the relationship between secondary coverage
16 that wraps around the fee-for-service benefit and higher
17 Medicare spending. Today, I'm going to step back from the
18 weeds and try to put his analysis into the broader context
19 of traditional Medicare's benefit design.

20 So just a quick review, Chris provided evidence
21 that when elderly beneficiaries are insured against
22 Medicare's cost sharing, they use more care and Medicare

1 spends more on them. I'm not going to go over his results
2 again, but his analysis suggests that if supplemental
3 coverage didn't fill in much or all of Medicare's cost
4 sharing, Medicare could use the design of its fee-for-
5 service cost sharing as a tool to encourage certain types of
6 care and discourage care that may be less appropriate.

7 In the interest of time, I'm going to skip over
8 this slide for now, but I'm happy to go back to this if we
9 have time later.

10 So just to review, remember that about 11 percent
11 of fee-for-service beneficiaries do not have supplemental
12 coverage, and that's kind of an orangish color in the top of
13 this pie chart. And about a third have individually-
14 purchased Medigap policies, and here I'm combining the dark
15 red and bright yellow areas to say that. About a third have
16 -- a little more than a third have employer-sponsored
17 retiree coverage, the area in green. And about 17 percent
18 have Medicaid, in light blue. And another 2 percent have
19 other sources, like VA. There are some very important
20 differences in these sources of supplemental coverage that
21 your mailing materials cover in detail.

22 In the past, we've talked a lot about why so many

1 beneficiaries have secondary coverage and it has to do
2 partly with fee-for-service Medicare's benefit design. It's
3 complex and there's no out-of-pocket cap on spending.
4 Beneficiaries can't predict what services they will need or
5 what their providers are going to charge, and they dislike
6 having to navigate through paperwork and any bills that they
7 might be receiving from providers for their cost sharing.
8 With many types of secondary coverage, the insurance is
9 billed automatically for that cost sharing.

10 Let's take a minute to remember what health
11 insurance is supposed to do and ask ourselves whether the
12 fee-for-service benefit accomplishes this. One important
13 function is to reduce an individual's exposure to financial
14 risk and very high out-of-pocket spending. And at the same
15 time, insurance shields people from seeing the cost of care,
16 so many insurers and payers believe that insurance should
17 deter beneficiaries from using lower-value services by
18 leaving some portion of covered services unreimbursed.

19 All of you know it's really hard to figure out
20 which services are a higher or lower value and for which
21 subpopulations of patients. A more solid base of evidence
22 on comparative effectiveness of therapies is really

1 important for figuring this out.

2 Right now, our current way of doing things doesn't
3 really accomplish the overall goals of insurance. Fee-for-
4 service Medicare doesn't cap out-of-pocket spending, and the
5 widespread use of secondary coverage doesn't leave much
6 unreimbursed, which leads us more generally to some problems
7 with the status quo.

8 The fee-for-service benefit design itself leads to
9 relatively few beneficiaries owing Medicare for most of
10 aggregate cost sharing, and one reason is because it does
11 not have an out-of-pocket cap. In 2007, about 22 percent of
12 fee-for-service beneficiaries incurred about two-thirds of
13 the combined \$50 billion in cost sharing owed for Part A and
14 Part B services. A typical retiree plan through a large
15 employer or the BlueCross-BlueShield option in FEHBP used
16 caps and also used combined inpatient-outpatient deductibles
17 that spread cost sharing around a little more easily. My
18 comparison, the fee-for-service method, puts more cost
19 sharing on the sickest beneficiaries through a relatively
20 high inpatient deductible and relatively low outpatient
21 deductible.

22 Beneficiaries also have unequal access to sources

1 of supplemental coverage due to differences where large
2 employers are located, differences across States, and the
3 rules about whether disabled Medicare beneficiaries under
4 age 65 can get a Medigap policy, differences in State
5 eligibility rules for Medicaid and the degree of outreach
6 they undertake, and also the wide variation in the price of
7 premiums for supplemental coverage.

8 Chris Hogan highlighted another problem with the
9 status quo with his analysis, namely that when beneficiaries
10 have secondary coverage, Medicare tends to spend more on
11 them. And since so many forms of supplementary coverage
12 fill in all or most of fee-for-service cost sharing, that
13 effectively means that Medicare can't use benefit design as
14 a policy tool. We can't use cost sharing to try to steer
15 beneficiaries in the way that private insurers do or even in
16 the way that Medicare Advantage plans and Part D plans can
17 do.

18 An outcome of the current way of doing things is
19 wide variation in financing burden for hospital spending.
20 Along the horizontal axis here, we've ranked all fee-for-
21 service beneficiaries by their level of Medicare spending.
22 This is taken from the 2005 MCBS. So the blocks of bars on

1 the farthest left show people who fell into the lowest 25
2 percent of individuals ranked by their fee-for-service
3 spending and the farthest right shows the highest spending,
4 25 percent. And then each color of bar shows a grouping of
5 beneficiaries with the same type of supplemental coverage.
6 So orange bars are beneficiaries with no secondary coverage
7 on the farthest left, and then moving right, the red shows
8 people with Medigaps. Yellow shows people with Medigaps and
9 retiree coverage. A green shows retiree coverage, and blue
10 is Medicaid. The height of the bars shows the median
11 percent of income devoted to the combination of out-of-
12 pocket spending and premiums for health care. And in each
13 case, the denominator is income, beneficiaries' income.

14 So looking left to right, you can see the
15 beneficiaries with Medicaid as their secondary coverage
16 spend the smallest percent of their incomes on health, even
17 after taking their low income into account.

18 Individuals who are fortunate to have retiree
19 coverage tend to have higher incomes and often have their
20 employer helping pay for their supplemental premium, too.
21 So their percent of incomes that they're paying for health
22 is also relatively low.

1 Notice how the red bars, the people with Medigaps,
2 tend to pay a high share of income if they've got low use of
3 Medicare services, and that's largely because of their
4 relatively high premiums for Medigap policies.

5 But as you move to the right, you can see the
6 people without supplemental coverage and higher use of
7 Medicare services quickly end up spending the largest
8 percentage of their incomes on health. So there's wide
9 variation, as you can see, from less than 5 percent of
10 income for those with Medicaid as their secondary coverage
11 to about 35 percent of income.

12 For the rest of the session, I'd like to start a
13 conversation among you about the goals that we might want to
14 pursue for the future if there were changes to the fee-for-
15 service benefit design and to secondary coverage. I've
16 listed four here and will go over each in turn.

17 So one goal could be to reshape the fee-for-
18 service benefit to be more in line with the usual design
19 elements of insurance, again, to reduce beneficiaries'
20 exposure to financial risk and yet leave some spending
21 unreimbursed to deter use of lower-value services. A direct
22 way to do this would be to add an out-of-pocket cap to the

1 fee-for-service benefit, but this would be very expensive
2 for the Medicare program, and in order to keep Medicare
3 spending budget neutral, we'd need to spread out cost
4 sharing more evenly across beneficiaries, for example, by
5 using a combined deductible. Putting limits on what
6 supplemental coverage could cover, for example, not being
7 able to cover a deductible, could also make adding an out-
8 of-pocket cap more affordable.

9 This is a big change from the status quo, as I'm
10 sure you know, and so there will be objections. Still, this
11 may be an important goal given how uneven the financial
12 burden is across beneficiaries today.

13 A second goal could be to use fee-for-service cost
14 sharing to help to begin to address Medicare's financial
15 sustainability, and one way to do this would be to simply
16 raise cost sharing requirements for all beneficiaries, which
17 is essentially the same thing as reducing Medicare's benefit
18 obligation. This approach could improve Medicare
19 sustainability, but measures to do this would have to be
20 balanced against concerns about raising barriers to care for
21 low-income beneficiaries.

22 Another path could be to set limits on what

1 supplemental insurance may cover, for example, not filling
2 in the Part B deductible, or as Bob brought up last time,
3 one could charge an excise tax on premiums for supplemental
4 insurance policies with the revenues dedicated toward
5 Medicare. I describe in your mailing materials some CBO
6 budget options along those lines.

7 A third way is to set priorities on what Medicare
8 will pay for. In the past, Ron has mentioned the example of
9 intraocular lenses with cataract surgery, where the
10 beneficiary must pay out-of-pocket to have vision-correcting
11 lenses implanted rather than conventional ones.

12 A third goal is to approach fee-for-service cost
13 sharing much in the way that Mike Chernew and Mark Fendrick
14 have talked about in a presentation to you a few years ago
15 about value-based insurance design. And the basic idea is
16 to use a more targeted approach to cost sharing, charging
17 different amounts depending on the therapy's clinical value
18 to the patient. In one approach, for example, you could
19 charge lower cost sharing for an entire class of therapies,
20 such as anti-diabetic drugs, in order to encourage diabetic
21 patients to adhere to the therapy. This works well for
22 classes of therapies that are only useful to the targeted

1 patients, but not as well for therapies that are used more
2 widely.

3 A somewhat different version would be to charge
4 lower cost sharing for certain therapies that we want to
5 encourage high-risk patients to use, for example, some lower
6 copays for anti-diabetic medicines only for diabetics whose
7 blood sugar isn't under control.

8 Some private payers have had success at improving
9 adherence with this approach and so it holds particular
10 promise for raising quality of care. But unless you use a
11 very targeted approach, value-based insurance design could
12 also increase costs. To help offset this, you might want to
13 also charge higher cost sharing for therapies that are of
14 lower value.

15 The last goal I'll talk about is using fee-for-
16 service cost sharing to help reinforce other changes
17 underway in provider payment systems. For example, you
18 spent a lot of time discussing ways to use differential
19 payments to providers, for example, paying them more if they
20 deliver higher-quality care and have lower resource use.
21 Over time, we might want to use fee-for-service cost sharing
22 to help steer beneficiaries towards those providers. So in

1 addition to being paid more by Medicare, the program could
2 use tiered copays to encourage beneficiaries to go to those
3 providers.

4 We could also use lower copays to steer
5 beneficiaries toward providers who are designated care
6 managers, for example, medical homes, while charging higher
7 copays for other providers. Or if there's documented
8 overuse of certain Medicare services, higher copays could be
9 used to deter some of that use.

10 In each of these examples, we'd also need to keep
11 supplemental coverage from filling in that differential cost
12 sharing in order to make the strategy work.

13 So at this point, I'll leave this to your
14 discussion. You might want to consider whether some of
15 these ideas, some of these goals should take priority over
16 others. And I'd especially appreciate it if those of you
17 who have given a lot of thought to value-based insurance
18 design would talk a bit more -- yes, Mike, that means you --
19 about whether and how it could fit into the context of fee-
20 for-service Medicare.

21 MR. HACKBARTH: Somehow, I don't think Mike
22 required an invitation.

1 [Laughter.]

2 DR. CHERNEW: I think this is wonderful and I'm
3 not sure I have a ton to add.

4 MR. HACKBARTH: Let me just, if I might, quickly
5 offer reaction to your questions. On page eight, you list
6 the potential goals, and I don't disagree with any of those,
7 but I think the one that has the broadest potential
8 political support and appeal is the first, which is focused
9 on the equity of the current system and assuring -- using a
10 restructuring to assure better protection for the sickest
11 people. That means more cost sharing for some other people
12 and that's never popular, I know. But of them, I think more
13 people can rally around number one than trying to use
14 restructuring to improve Medicare sustainability or steering
15 people to particular providers. I do think that the third
16 bullet of encouraging use of high-value services maybe has
17 some appeal, but it's also the most complex of these to
18 operationalize. So I'm sort of drawn to the first one as
19 the priority focus.

20 I have Arnie, John, Mitra, and Bruce.

21 DR. MILSTEIN: Rachel, one of the things that
22 struck me in the prior presentation on this was the impact

1 on service use of having supplemental insurance. It was
2 quite profound. Have we attempted to model how much higher
3 Part B premiums are for beneficiaries who don't have
4 supplemental insurance as a result of the well-demonstrated
5 increased demand for Part B services that occur as a result
6 of other beneficiaries that do have supplemental insurance?
7 What is the unintended disequity or unfairness that we are
8 imposing on people without supplementary Med Supp coverage
9 simply due to the higher service volume associated with
10 other beneficiaries that do have it? What's the percentage?
11 Have we attempted to, order of magnitude, estimate the
12 incremental price tag to the beneficiaries without
13 supplemental insurance?

14 DR. SCHMIDT: No, we haven't. Last year, we did
15 some simulations along those lines and I think we're trying
16 to gear up to a state where we might be able to do similar
17 sorts of things in the future. But no, we haven't yet.
18 Last year, in some of the simulations, we were seeing, I
19 think, lower Medicare spending on the order of 10 percent,
20 if memory serves, but a lot depends on what estimate one was
21 -- what assumption one was making about elasticities and
22 that kind of thing.

1 DR. REISCHAUER: But also, since that would
2 disproportionately fall on only 11 percent of beneficiaries,
3 that could have a very substantial impact on the small
4 number in terms of increased cost to those who -- anyway,
5 you see where I'm going on that.

6 MR. HACKBARTH: You've got to remember, I mean,
7 the induced utilization is Part A and Part B, and of Part B,
8 it's only 25 percent that gets then translated into higher
9 premiums. So what can be a huge number from the standpoint
10 of public policy maybe isn't -- I mean, it's a significant
11 number, to be sure, but it's not sort of an eye-popper --

12 DR. MILSTEIN: I was just following up on Glenn's
13 point about the equity hook being the most powerful.

14 MR. BERTKO: So first, Rachel, thanks for a very
15 thoughtful and comprehensive presentation on this. A couple
16 of comments here.

17 The first is, and this addresses somewhat of what,
18 Glenn, you brought up. If we were to require some amount of
19 minimum cost sharing, one of the paybacks here is there is
20 more of a tradeoff as opposed to a take-away because we are
21 wanting to reduce from 100 percent of everything to, say, 95
22 percent of everything. That money theoretically would flow

1 back to beneficiaries in terms of lower Medigap premiums.

2 And so I would make at least that argument.

3 Number two, and I would perhaps think about -- you
4 might think about adding this, is that my experience
5 designing benefit plans is that beneficiary seniors like
6 predictable things, and so taking both the \$135 Part B
7 premium and the coinsurance being very unpredictable and
8 having a minimum level of, say, and I'll use this \$5 for
9 primary care specialties, \$20 or \$25 for specialists, and a
10 \$100 emergency room copay known at the start, possibly
11 indexed -- it doesn't even have to be indexed -- you get
12 the, at least in my experience, the biggest pick-up in
13 reduction of demand from having anything coming off of zero.
14 So it could still work out reasonably well that way.

15 And then the third comment here is, and this goes
16 to your comments, Glenn, about the goals here, I think at
17 least three of them, counting my tradeoff argument, are
18 closely aligned in terms of spreading the risk in a better
19 way; secondly, protecting Medicare's solvency; and then
20 thirdly, giving us incentives to use these redesigned
21 systems. And so it could fit together pretty nicely.

22 MS. BEHROOZI: This is really great ,Rachel,

1 putting it all together and having there be a flow to all of
2 these ideas. I don't think I'm going to be able to be so
3 smooth in my flow of responses, so just picking out,
4 starting with the goals, as you say, Glenn, I actually think
5 the second goal doesn't belong on a list of goals for cost
6 sharing. Benefit design overall, maybe, but not cost
7 sharing.

8 And I think that what you're seeing out in the
9 private payer world is a recognition by employers -- I was
10 actually just watching Nancy-Ann DeParle yesterday with one
11 of those roundtables and there were a couple of small
12 business people at the table saying, I don't want to shift
13 costs onto my employees. I don't want to do that. There's
14 nothing of benefit to me in that. It's a bad thing to do.
15 It's just about I can't afford it so I'm going to make them
16 pay. So I don't think that that's what Medicare should be
17 doing -- should be thinking of when it's thinking of cost --
18 cost shifting is not purposeful. It's just we're not paying
19 it. Somebody else is going to pay it.

20 And the somebody elses, I think it's really
21 significant -- you said this in your paper. We had heard
22 this, I think, last year from Evan that half of Medicare

1 beneficiaries' incomes are at 200 percent of the poverty
2 level or less. They do not qualify for AMB status or
3 whatever. But they aren't rich people. The two lowest
4 quintiles that we were looking at yesterday in Craig and
5 Cristina's -- well, Craig and somebody's presentation --
6 sorry -- that distribution, those two lowest quintiles are
7 below 200 percent of the poverty level. That's not like the
8 general population.

9 So I think we have to be that much more cognizant
10 when we're talking about cost shifting that it's going to
11 have a dramatic impact. It's not a progressive thing. It's
12 a regressive thing to take the same cost, whether it's 20
13 percent of the doctor's bill or whether it's a \$25 copay to
14 see a specialist. It's going to have a widely different
15 effect on the people who retire quite comfortably in that
16 probably upper quintile, right, and just about everybody
17 else.

18 As I said, at that lower end of the income
19 spectrum, it's going to have a really big effect, and I
20 think that's demonstrated somewhat, I think, on page 27 of
21 the paper when you say there was some evidence that relative
22 to individuals without supplemental coverage, the presence

1 of secondary insurance had a proportionately higher effect
2 on Medicare spending. So I think that means that if they
3 didn't have supplementary insurance, they were that much
4 less likely to seek care, is that right?

5 DR. SCHMIDT: Right. That's actually part of the
6 slide that I skipped over --

7 MS. BEHROOZI: Right --

8 DR. SCHMIDT: -- in the interest of time. But
9 yes, when Chris did his analysis, he did find that there was
10 somewhat more responsiveness. The same amount of
11 supplemental coverage, the same dollar amount was dearer to
12 lower-income people, but it was not as large an effect as
13 you might imagine. I would characterize it as a moderate
14 effect.

15 MS. BEHROOZI: But I think we also haven't seen
16 the effect of not having supplemental coverage on higher-
17 income people, because they're the ones who are buying the
18 supplemental coverage, you know, to be responsible for a
19 share of the costs. They could also be less sensitive
20 because they can afford, especially if you go to things like
21 a \$5 or \$25 copayment. So I think it really comes down to
22 the third bullet, which is encouraging use of high-value and

1 discouraging use of low-value services. That moves up, and
2 I would even actually -- I would rephrase the first one a
3 little bit. Not distributing cost sharing more evenly, but
4 more equitably. And Glenn, you used the word equity, but
5 you were talking about protecting sicker people.

6 This isn't what I think, but there are some people
7 who would say the sicker people are the users, like the
8 people who pay tolls to cross bridges, they are the users,
9 right. It doesn't matter. They need to get to work. They
10 have to cross the bridge. They've got to pay the toll.
11 That's not necessarily what I'm advocating, but I think
12 there's another view of equity which says if it hurts you
13 more because you've got less money, you might be deterred
14 from necessary care whether you're really sick or whether
15 you're not so sick yet and we want to prevent you from
16 getting sick.

17 So I really think that you have to take income
18 into account and you have to take the efficacy of treatment
19 into account if we're moving forward, not just sort of
20 moving the pieces around on the board but trying to move the
21 board ahead. I think those concepts have to come into play.

22 MR. HACKBARTH: I have Bruce, Bob, Nancy, Jennie,

1 and Mike. Bruce?

2 DR. STUART: I like this chapter a lot. I think
3 putting all of these things together makes for a much more
4 cogent set of arguments that we can use then to help improve
5 the structure of these benefits and I'm a real fan for
6 improving the structure of the benefits, both from an equity
7 standpoint -- I think that Arnie's point is very well taken
8 that, in fact, people that don't have coverage are forced to
9 pay more because of the Part B premium, and Mike and I have
10 also talked about this in terms of we share, I think, the
11 same view that there is a more rational way to make -- to
12 design drug benefits -- not just drug benefits, but A and B
13 benefits that would, in fact, promote efficiency and quality
14 of care.

15 So there are two issues that I have. One is a
16 technical issue. Well, maybe three issues. One is a
17 technical issue, which is the question about, well, how much
18 do you save if you actually were to impose cost sharing on
19 people who don't have it now, which is essentially Arnie's
20 point, which is their utilization would go down if they
21 faced cost sharing. There is no question about that. I
22 think every economist believes that there is moral hazard in

1 this market. So it really becomes a question of, well, how
2 big is it? How big is the number there? And in this
3 particular case, I think that Hogan has actually
4 overestimated the savings that could be obtained if, in
5 fact, you were to take away the secondary coverage of
6 Medicare cost sharing.

7 And most economists that have looked at this
8 think, in fact, that there is some active selection into
9 these programs that's over and above what you can control
10 for with observable Medicare expenditures. And so I think
11 that that's something that you really do need to pay some
12 attention to, and if you were to try to put a number on
13 Arnie's question, well, how big is the premium increase
14 going to be, you really have to be pretty precise about
15 that. So I think that's an issue.

16 The second thing is, and this is really political
17 and I'm just going to leave it at that, if people have
18 something, it's going to be damn hard to take it away, and
19 so you have to think about how you're going to structure the
20 process. If you come to the conclusion that there is too
21 much -- that there's not enough cost sharing of whatever
22 type, then I think there really needs to be some thought

1 given to the mechanism by which you get from here to there.

2 And then the third part is -- and this gets to the
3 population who are both poor and/or have high Medicare cost
4 relative to their income, and the assumption that I see in
5 here, and correct me if I'm wrong, is that you really want
6 to give the -- you don't use this term, but kind of a free
7 pass on the cost sharing side. You really don't want to
8 impose cost sharing on those individuals. But I think that
9 if you had a more rational way of establishing cost sharing
10 benefit design, then, in fact, you might well want to do
11 that.

12 And by saying, okay, well -- and I'll use drugs as
13 an example because it's a lot easier than the A and B side,
14 but I think there are analogs on A and B -- so on the drug
15 side, if you have a generic product that's available, then
16 you have a low cost share on that product. If you've got a
17 substitute for that, a branded product that is demonstrably
18 better, then for these people, you'll probably have a low
19 cost share, too, because you want to steer use into that
20 particular product. But if you've got substitutes that
21 compete with each other and are expensive branded products,
22 then for those other substitutes, you could pick a preferred

1 product and then have other non-preferred products or have
2 other, you know, the Medigap insurers and the employer-
3 sponsored plans putting in those kinds of tiered
4 arrangements. And I think those could apply just as easily
5 to people that are low-income and high-spenders as to
6 everybody else.

7 DR. REISCHAUER: I think I'm next. I think this
8 is a terrific chapter, Rachel, and a lot of interesting data
9 and analysis. But I was wondering if we wouldn't want for
10 completeness here to have at least a box about Medicare
11 Advantage, because there is a way that people can -- big
12 smile here. There's obviously been some kind of a
13 conspiracy. Is there?

14 DR. SCHMIDT: There is one.

15 DR. REISCHAUER: What the average was?

16 DR. SCHMIDT: It's towards the back. There's a
17 section that discusses it.

18 DR. MARK MILLER: I just want to point out how
19 fast we reacted.

20 [Laughter.]

21 DR. REISCHAUER: Very responsive. Just to build
22 on John's comment with respect to if we had an out-of-pocket

1 cap, a lot of this is redistribution as opposed to
2 additional cost because now many employer systems are
3 requiring premiums, and those could go down. Medigap
4 premiums could go down. And then there's a shift from
5 Medicaid to Medicare that would occur with this. And so the
6 actual sort of amount of new resources, I think it would be
7 modest.

8 DR. KANE: Yes. I'm still trying to sort out what
9 I -- I mean, I'm responding a little bit to your comment
10 that the most politically popular aspect of this is actually
11 not the one I would have said I'm the most interested in,
12 which is the bottom one, about how can we get cost sharing
13 to reinforce payment reform. I kind of think that's why we
14 got into it, as a discussion of how do you get people into
15 medical homes? How do you get people into ACOs?

16 I guess on the first one, I guess one question is
17 rather than tell people -- well, let me show you how poor an
18 economist I am. My recollection of the RAND study was that
19 the cost sharing really affected low-income people more than
20 anybody else. They made really bad choices and their health
21 was more at risk than anybody else, but that higher-income
22 people, I guess, were able to better mitigate the effects.

1 So one of the questions is, is the distribution
2 and then the subsequent poor behavior choices on health more
3 disproportionately a problem for low-income people, and
4 wouldn't that suggest, rather than affecting the people who
5 have Medigap coverage, trying to get more low-income people
6 into either Medicaid or LIS or -- I'm just trying to
7 understand why you want to necessarily take away from
8 Medigap and give to low-income people when there's other
9 mechanisms for dealing with low income. For the first goal,
10 I just think that's kind of -- there's more than one way to
11 improve the equity of cost sharing, particularly for low-
12 income people.

13 And I was looking also at Slide 7 and trying to
14 get a sense of, for the highest 25 percent and those who
15 have no supplemental coverage, is that because they're
16 really low income and so the premium and copays put them in
17 there, or because they lack catastrophic, because if it's
18 lacking -- I think you have different conclusions as to what
19 you want to do about it. If the reason you're in that
20 highest 25 percent is that you have really low income and
21 therefore the copays and premiums and deductibles puts you
22 in there, or is that mostly people who are going through

1 some kind of catastrophic, they have gone through all the --

2 DR. SCHMIDT: About 20 percent of fee-for-service
3 benes have a hospitalization in any year. So at least part
4 of this is kind of a Part A deductible kind of a thing,
5 which gets your spending up pretty high. They do have very
6 low incomes. That's for sure. I can't off the cuff say
7 they've absolutely hit what one might call a catastrophic
8 range, but I would say that their cost sharing is pretty
9 darn high.

10 DR. KANE: Relative to their income?

11 DR. SCHMIDT: Yes.

12 DR. KANE: Yes. So to me, I guess part of what
13 I'm trying to sort out is some of the problem of the equity
14 or the lack of protection has to do with low-income people
15 and how do we protect them. It doesn't really have to do
16 with shall we make other people cost share more to protect
17 them. I don't think that's the -- I don't translate that
18 well. I'm happier sort of thinking, how do we create better
19 incentives to reinforce payment system reform and use cost
20 sharing to encourage people to buy better -- you know, use
21 the right services, but not to subsidize low-income people
22 because it seems to me we already have other ways to try to

1 do that.

2 DR. SCANLON: Well, it is, I mean --

3 DR. KANE: And I might just be confused about
4 what's really going on here.

5 DR. SCANLON: At one point, this is a long time
6 ago, we did some analysis at GAO and we looked at not
7 incomes, but just at the amount of cost sharing, and there
8 were, I think, 600,000 people that maybe were spending more
9 than \$10,000 on cost sharing for Medicare-covered services.
10 So \$10,000, even if you've got a \$40,000 income, is a very
11 significant cost share. So that's in contrast with a
12 private insurance plan that would usually have a \$1,000 cap
13 at that time, and it's probably much higher today.

14 DR. KANE: Doesn't that say that the \$40,000
15 person perhaps should be eligible for some type of -- okay.
16 So I guess, which problem are we trying to solve here? One
17 is how do we get everybody to be vulnerable to incentives to
18 get them into better, higher-value plans and higher-value
19 formulas.

20 I think there's a different set of tools to
21 address the first problem of the financial protection issue,
22 and maybe we just need to get people who go in with \$40,000

1 of income who hit \$10,000 get into Medicaid or get into a
2 LIS.

3 DR. SCANLON: That's a fundamental philosophical
4 issue, which is do you want Medicare to be a good insurance
5 program, and most people would say good insurance puts a
6 catastrophic limit on it, or do you want it to be a poor
7 insurance program supplemented by means-tested programs --

8 MS. BEHROOZI: Just because I'm thinking that
9 maybe what you're saying is a little bit in response to what
10 I was saying about equity having something to do with
11 income, I just want to make the point that I feel like, yes,
12 it's three and four -- they're not up there now -- driving
13 appropriate behavior is the most important thing, but by
14 having just a fixed dollar amount that you charge for a
15 service drives behavior differently at different ends of the
16 income spectrum.

17 MR. HACKBARTH: And just one reaction, Nancy. On
18 the fourth one, reinforced payment system reforms, in the
19 abstract, that's appealing to me, too, but most of our
20 models for payment system reform involve voluntary
21 arrangements and we're talking here about base Medicare
22 benefit design and how you use that to reinforce movement

1 into some things that some people are going into, some
2 aren't even available anywhere. It's just sort of a complex
3 interaction, number one, and number two, one of the most
4 difficult things for the Congress is to use benefits to
5 steer people towards particular providers and away from
6 others. That's one of the most difficult political sells to
7 make. So that was my --

8 DR. KANE: I think it's when you start to bring in
9 the supplemental coverage and how do you want to regulate it
10 that I start to get confused as to what our goals are here.

11 MS. HANSEN: I think this issue of confounding
12 elements is probably here, except that I love the chapter
13 because it does bring in the complexity. I was just
14 thinking that with the last quartile, the lower income, and
15 the fact that 35 -- I think it was on page seven -- that it
16 can go up to 35 percent, I was just wondering about -- this
17 is where it does confound to another issue of shifting it
18 possibly back to Medicaid, because if we look at the bar,
19 the orange bar as compared to the light blue bar, the light
20 blue is the Medicaid population, right? So it doesn't take
21 much more perhaps if you're earning \$15,000 a year as a low-
22 income individual, \$20,000, to tip quickly into Medicaid and

1 I just wonder whether we've looked into kind of that shift
2 that occurs, even though Part D comes back into Medicare.

3 So it's, A, complex, but I do also think that the
4 payment reform option, I know we're working on, but I can't
5 help thinking as a clinician, and bear with me with this
6 example, how we would sort it out in this benefit design.
7 Let's just say many people now have five chronic diseases
8 and what happens as a result is you see ten to 14 doctors a
9 year. I mean, these are the numbers that have been coming
10 out. And you have 50 prescriptions a year. So when you
11 look at that kind of live experience that people have, how
12 do we do the coordination in some way to address the
13 delivery system reform to mitigate some of those expenses,
14 because oftentimes you don't have to take that many
15 medications. You don't go into the hospital because you're
16 not on 14 medications. There's kind of a cascade effect
17 that comes into play with that.

18 So it's possible through delivery system reforms,
19 which is alluded to in the fourth bullet, could mitigate
20 actually the spend for whether you're poor or not on this.
21 I don't know how that gets captured in -- because I think
22 this is a reflection of what is, but what could be would be

1 as a result of reform.

2 But my first point was just that it sort of seems
3 like it would make it reasonably easy for people to qualify
4 for Medicaid after you pay so much out of pocket over time,
5 that many States have a medically needy-only benefit that
6 goes into a QMB/SLMB relationship.

7 So as I say, there are many moving parts to this
8 which make it very textually interesting, but I'm not sure
9 how to fully sort it out to make it effective and bring it
10 back to Medigap policies.

11 DR. SCHMIDT: Yes, and just one technical point.
12 The far right side of the bars, I'm showing you median
13 percent of income and it's actually higher for mean because
14 of the medically needy for those who don't have supplemental
15 coverage. I mean, some would end up being medically needy
16 and going into Medicaid.

17 I think there are differences across States,
18 though, in how they treat the medically needy, though, so
19 that may be some complexity we need to look into further.

20 DR. CHERNEW: As you know, I think this is
21 tremendous and I can hardly contain my exuberance.

22 [Laughter.]

1 DR. CHERNEW: But let me just make a few points.
2 The first one is, in your chart of the distribution of
3 supplemental coverage, that's the distribution of
4 supplemental coverage as of a few years ago. That's not the
5 distribution of supplemental coverage we're going to see in
6 the future, certainly not if costs grow the way they've been
7 growing. We're going to see employers dropping a lot of
8 supplemental coverage. Premiums are going to be rising.
9 We're going to be worried about access for a lot of care to
10 individuals, and the discussion that I think we're going to
11 have in the future is going to be a discussion about we want
12 a -- we're worried about this lack of financial access to
13 services that people have and this financial burden that
14 people are facing, particularly the low-income individuals,
15 and we want to improve their benefit, but that's really
16 expensive and we're not sure how to do that.

17 My view about how to think about all of this
18 hinges tremendously on how successful I think all of the
19 payment reform things are. So if I shut my eyes, imagine a
20 world with accountable care organizations or a well-
21 functioning anything, then I have a completely different
22 view about how I feel about this than if I don't.

1 So while I agree with Mitra's comment about using
2 cost shifting just so Medicare saves money and beneficiaries
3 pay more is really unappealing, I don't like that at all, as
4 opposed to using cost shifting to make the system more
5 efficient. I just think we can't ignore the financial
6 impact of what's going to happen and I think we have to
7 prepare ourselves for a world in which the beneficiaries,
8 particularly those on sort of the side of that graph, have
9 dramatically less coverage for a whole range of things.

10 And if technology continues to progress, there
11 will be dramatically more things that they're going to want
12 to have access to and we're going to have to worry about
13 that, which raises a broad complicated philosophical issue
14 which I'm scared to talk about in public session, but which
15 is how we deal with the equity of access to care for
16 everybody, which I think is absolutely crucial and cost
17 sharing has a tendency, because economists as a profession
18 don't worry about equity, to cause inequities. It is a
19 policy situation we just really don't like.

20 And so I think we're going to have to face the
21 issue of cost sharing, whether we like it or not, not
22 because we're going to try and find ways to make people pay

1 more to do whatever we want, because we're going to have to
2 try and figure out what we're going to subsidize as they
3 lose some of those other sources of care. So that's the
4 first point.

5 The second point is, related to the other
6 comments, cost sharing does interact with other aspects of
7 the system so we need to figure out what happens if you have
8 someone in an Accountable Care Organization or on an
9 episode-based payment or some other system where now the
10 physicians are trying to get people to do various things,
11 but the people want more and more stuff because they don't
12 have to pay. You know, the problem, I think you would say,
13 happened in the past, or at least I would say, there was a
14 tension between what the patients demanded and the financial
15 incentives the physicians were under and that created a lot
16 of problems in the patient-physician relationship that I
17 don't think should be ignored.

18 I think it's also important to think about aspects
19 of care. Many of the things that Peter might do or Arnie
20 might do or I might do to prevent readmissions or to prevent
21 management of folks to chronic disease is to get them to
22 follow certain types of care. Make sure if they have

1 diabetes, they take their blood pressure medication.
2 Medicines are hard to deal with because of the Part D design
3 split, and I will finesse that for a minute and say the
4 issue is, in a world where there's a lot of cost sharing, we
5 need to think about encouraging -- and you could debate the
6 magnitude, but I think there's reasonable evidence now that
7 there's some offset, that if you spend more on some
8 services, I don't think you get that all back, but you get
9 some of that back through better health, and even if you
10 don't get a lot of it back, at least you're healthier.

11 So I think figuring out how cost sharing interacts
12 with other aspects of the system and supports preventive
13 care, supports physicians if we move to another type of
14 system, I think is absolutely crucial in doing this.

15 I'm very worried about the -- not very worried,
16 but I worry a lot about having a catastrophic cap, in part
17 because I think the economic theory would suggest that you
18 want to have the cost sharing in the place where you want to
19 have the efficiency occurring, and I know I don't know that,
20 and so please don't tell me that I don't know that. I
21 realize. And I know that's hard to do.

22 But there's an issue, for example, in this country

1 right now, for many people, if you have a heart attack, if
2 you get cancer or something bad happens to you, you get
3 taxed a certain amount of money. You just have a heart
4 attack, here's the money. There's no beneficial incentive
5 effect. There's no moral hazard reduction, to use an
6 economic term. You're just taxed. Sorry, you had a heart
7 attack. Pay whatever you have to pay. And then once you've
8 paid that, there's no incentive to be efficient at the
9 higher spending levels.

10 So my mother, who has a situation right now where
11 she is spending a lot of the taxpayers' money and getting a
12 lot of expensive imaging done on her has no incentive to
13 think about that imaging at all because she's paid her tax
14 and now she's just getting CT scans every six month, which
15 is actually -- well, I think she's now to yearly. But the
16 point is so a cap is fine in a standard model, but I think
17 if you were to think about medical conditions, we would be a
18 little smarter.

19 And I think there's two big challenges, neither of
20 which I can speak to authoritatively. The first one is the
21 politics befuddle me completely, so I have nothing to say
22 about that.

1 And the second one is the complexity of how to
2 implement this. You know, it's easy to point out particular
3 situations where a reasonable person would say, in this
4 situation, you shouldn't do this. But to figure out how to
5 make that systematic across the board is harder, and I
6 realize it's harder. My only plea would be that we don't
7 get in a situation where the inability to do everything
8 exactly the way we would want comprehensively prevents us
9 from making policies which we think would actually improve
10 the world, and I do think there are situations where people
11 with certain clinical conditions, where people in certain
12 income categories, where if the person were standing there
13 in the public comment and explained to you the situation of
14 what happened to them and what they have to pay and asked
15 why the system was set up, you would have a hard time to
16 answer except saying, you know, we designed the system in
17 aggregate. We didn't design it for you. So I'm sorry, and
18 then you just go on your way.

19 I think we could probably do a bit better. I'm
20 not yet ready to say exactly how, but I'm thrilled that
21 there's some thought to this because I do think this is the
22 one area where bringing the Medicare beneficiaries into the

1 system, both in terms of their responsibilities and in terms
2 of their choices, matters, and I think that whether we like
3 it or not, we're going to have to deal with it.

4 DR. CASTELLANOS: I really like this chapter, for
5 a lot of reasons. What we're really talking about is
6 utilization here, if you really think about it. And what
7 we're looking at, not for the first time but from a
8 different approach, is that it's really multifactoral.
9 There's a tremendous shift from Part A to Part B because of
10 taking things out of the hospital and you're going to
11 increase utilization from that. There's no question
12 physicians have a real role in utilization and over-
13 utilization.

14 But this is one of the first times, again, that
15 we're talking about the beneficiary and the beneficiary's
16 responsibility. What we're trying to do is change the
17 beneficiary's behavior or incentivize the patient or the
18 beneficiary. As Mike said, the landscape is dramatically
19 changing. We need to make sure they have access, but we
20 need to make sure it's appropriate, what they get, and the
21 care they get is appropriate.

22 Whether you like it or not, first-dollar coverage

1 does make a difference. Cost sharing does make a
2 difference. We have a lot of behavioral things where we
3 have patients, we call them frequent flyers. They show up
4 wherever they want, whenever they want, in the middle of the
5 night and get readmitted to the hospital for no reasons, and
6 they get readmitted because there's nobody else there to
7 take them home or do something.

8 So what we're doing here really, and I know I'm
9 not talking about insurance as much as patient, beneficiary
10 responsibility, incentivizing a better behavior, but making
11 sure they do have access.

12 DR. BORMAN: In respect to your comment, Glenn,
13 about what is the most hot-button political implication and
14 how we deal with that, I happen to find a certain amount of
15 appeal in part of this equity discussion. Sort of the flip
16 side of it is the part that you have on one of the slides
17 about moving more of these people into designated care
18 managers. And I'm not sure how we phrase that to get around
19 a little bit about the politically-charged piece of that,
20 but I think that is a fair tradeoff in that as we attempt to
21 support you better, recognizing your burden of disease, your
22 piece of this is that we hope you do it in the way that's

1 most efficient for the system.

2 And so my recollection, and it could be my
3 ignorance, is that many States have certainly on the
4 Medicaid side required certain kinds of folks to go into
5 designated managers, and I wonder if we have any data to
6 bring from that experience in terms of what really has been
7 the success of that in terms of health outcomes, in terms of
8 spending pattern changes, any unintended or unanticipated
9 consequences for good or for ill that could help inform or
10 sustain a good quality recommendation that there are certain
11 groups of people that for them, it is a win to do this, and
12 for the system, it's a win to do this. And then it starts
13 to fold into, for example, the ACOs. Is this potentially a
14 mandated population, if you will, and some of those kinds of
15 considerations, but maybe there are some data out there that
16 could help us inform that recommendation.

17 DR. MILSTEIN: Reflecting on this, what you
18 realize is that asking any beneficiary to pay more is just a
19 form of political poison. And so the question is, what is
20 the least toxic variant? And I think the options -- I'm
21 trying to make sort of a summary comment -- option A is the
22 beneficiaries pay more if they don't enroll in an efficient

1 delivery system, whether it's a Medicare Advantage delivery
2 system or a medical home delivery system or an ACO delivery
3 system. That's option A, and I personally believe that is
4 probably least toxic.

5 Next toxic is beneficiaries pay more if they don't
6 select a more efficient provider, and Glenn, you commented
7 on that --

8 DR. CHERNEW: They pay less if they do. They pay
9 less if they do select.

10 DR. MILSTEIN: Yes. You can frame it -- framing
11 it is --

12 DR. CHERNEW: The ability --

13 DR. MILSTEIN: It's always six of one, half a
14 dozen of the other. The opponents will frame it negatively,
15 so anyway, that's it.

16 And then C is pay more -- beneficiaries pay more
17 if they don't select an efficient treatment option. That's
18 sort of the most granular.

19 And those are really the three, I think, primary
20 choices. Each of them varies in terms of their political
21 toxicity and their likely impact -- their implementation
22 difficulty and their likely impact on spending. And

1 modeling that is the best we're going to be able to do.

2 Michael points out the dynamic things that are
3 happening concurrently, such as the evolution of biologics
4 that will cause some treatments to be much more expensive
5 than others. The large molecule phenomenon will actually
6 make the last option, penalizing people who don't select the
7 most efficient treatment option, in some ways more toxic,
8 more of a problem than it has been in the past. So those
9 are the choices.

10 MR. HACKBARTH: I don't disagree with that
11 framing. I do think how it's present, you know, whether
12 it's pay more or pay less is, in the real world, very, very
13 important. And the way I think about this, and it may be
14 that I need to get out of this rut, is that the step here is
15 to define a base Medicare benefit package, and most people
16 are not in these things yet because they don't exist yet,
17 and so what I would want to do -- I think the existing
18 Medicare benefit package is irrational in a lot of ways and
19 doesn't fairly distribute the cost sharing burden. And so
20 I'd set, as I said, a top priority, let's get it looking
21 more like what a real insurance program ought to look like.

22 I don't disagree with Mike's notion that complete

1 coverage after a low threshold has some problems, and some
2 creativity about how to deal with that, I think would be
3 appropriate. But I think we do need to do a little bit
4 better job of protecting the people who are sickest and
5 charge a little bit more for people who aren't incurring big
6 bills than the current benefit design does. And so I think
7 of it in terms of equity and creating a sound insurance
8 design.

9 Then from there, as we develop some care manager
10 system that really works and we know it reduces cost, we can
11 say, oh, we'll reduce your cost sharing or your Part B
12 premium or both if you voluntarily commit to do that. It's
13 your choice. You can stay in the other program, but we
14 think this is good for you and good for us and we're willing
15 to share the savings with you. I think that politically
16 feels a lot better than, oh, we're going to do the Medicaid
17 thing, which is require you to go to a certain care manager
18 organization. I think that would be very hard to do in
19 Medicare given the politics around Medicare.

20 So I don't think we're saying different things.
21 It's really the framing of it and the package. I do believe
22 that in the area of value-based benefit design, given the

1 growing importance of drugs in effective treatment, this
2 design of having separate insurance pools for Part D private
3 insurers versus traditional Medicare and having this fissure
4 in the system is a big problem.

5 Okay. Thank you, Rachel. Very good job.

6 We will now have a public comment period.

7 [No response.]

8 MR. HACKBARTH: Okay. Thank you very much. We
9 are adjourned.

10 [Whereupon, at 12:08 p.m., the meeting was
11 adjourned.]

12

13

14

15

16

17

18

19

20

21

22